

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Morning Session

THURSDAY, DECEMBER 7, 2011

8:00 a.m. to 11:30 a.m.

FDA White Oak Campus  
White Oak Conference Center  
Building 31, The Great Room  
Silver Spring, Maryland

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Yvette Waples, Pharm.D.**

4 Division of Advisory Committee and Consultant  
5 Management

6 Office of Executive Programs, CDER, FDA

7  
8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Deborah K. Armstrong, M.D.**

10 Associate Professor of Oncology

11 The Sidney Kimmel Comprehensive Cancer Center at

12 Johns Hopkins

13 The Johns Hopkins University School of Medicine

14 Baltimore, Maryland

15  
16 **Ralph Freedman, M.D., Ph.D.**

17 Clinical Professor

18 Department of Gynecologic Oncology

19 The University of Texas

20 M.D. Anderson Cancer Center

21 Houston, Texas

22

1     **Wm Kevin Kelly, D.O.**

2     Professor, Medical Oncology and Urology  
3     Director, Division of Solid Tumor Oncology  
4     Associate Director, Translational Research  
5     Thomas Jefferson University  
6     Philadelphia, Pennsylvania

7  
8     **Brent Logan, Ph.D.**

9     Professor of Biostatistics  
10    Division of Biostatistics  
11    Medical College of Wisconsin  
12    Milwaukee, Wisconsin

13  
14    **Mikael Sekeres, M.D., M.S.**

15    Associate Professor of Medicine  
16    Staff, Cleveland Clinic Taussig Cancer Institute  
17    Department of Hematologic Oncology and Blood  
18    Disorders Cleveland, Ohio

19  
20  
21  
22

1 **Julie M. Vose, M.D., M.B.A.**

2 Neumann M. and Mildred E. Harris Professor

3 Chief, Division of Hematology/Oncology

4 Professor of Medicine

5 Nebraska Medical Center

6 Omaha, Nebraska

7

8 **Wyndham Wilson, M.D., Ph.D.** (Chair)

9 Chief, Lymphoma Therapeutics Section

10 Metabolism Branch

11 Center for Cancer Research

12 National Cancer Institute (NCI)

13 National Institutes of Health (NIH)

14 Rockville, Maryland

15

16 **TEMPORARY MEMBERS (Voting)**

17 **Aman U. Buzdar, M.D.**

18 VP Clinical Research and Interim

19 Professor of Medicine

20 UT M.D. Anderson Cancer Center

21 Dept. of Breast Medical Oncology

22 Houston, Texas

1     **Louis F. Diehl, M.D.**

2     Professor of Medicine

3     Duke University Medical Center

4     Durham, North Carolina

5

6     **Tito Fojo, M.D., Ph.D.**

7     Senior Investigator and Director

8     Medical Oncology Fellowship Program, NCI, NIH

9     Bethesda, Maryland

10

11    **Marc Garnick, M.D.** *(Morning Session Only)*

12    Beth Israel Deaconess Medical Center

13    Clinical Professor of Medicine

14    Harvard Medical School

15    Boston, Massachusetts

16

17    **Mary Meyer** *(Morning Session Only)*

18    *(Patient Representative)*

19    South Salem, New York

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Jane Zones, Ph.D.**

3       *(Acting Consumer Representative)*

4       Medical Sociologist (retired)

5       Breast Cancer Action

6       National Women's Health Network

7       San Francisco, California

8

9       **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

10      **(Non-Voting)**

11      **Gregory Curt, M.D.**

12      *(Acting Industry Representative)*

13      U.S. Medical Science Lead, Emerging Products

14      AstraZeneca Oncology

15      Wilmington, Delaware

16

17      **FDA PARTICIPANTS (Non-Voting)**

18      **Richard Pazdur, M.D.**

19      Director, Office of Hematology & Oncology Products

20      (OHOP)

21      Office of New Drugs (OND), CDER, FDA

22

1 **Amna Ibrahim, M.D.** (*Morning Session Only*)

2 Deputy Director

3 Division of Oncology Products 1 (DOP1)

4 OHOP, OND, CDER, FDA

5

6 **John Johnson, M.D.** (*Morning Session Only*)

7 Medical Team Leader

8 DOP1, OHOP, OND, CDER, FDA

9

10 **Amy McKee, M.D.** (*Morning Session Only*)

11 Medical Officer

12 DOP1, OHOP, OND, CDER, FDA

13

14 **Somesh Chattopadhyay, Ph.D.** (*Morning Session Only*)

15 Statistical Reviewer

16 Division of Biostatistics V (DBV)

17 Office of Biostatistics (OB)

18 Office of Translational Science (OTS)

19 CDER, FDA

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Wyndham Wilson, M.D., Ph.D.	10
5	Conflict of Interest Statement	
6	Yvette Waples, Pharm.D.	14
7	<b>Sponsor Presentation - Pfizer, Inc.</b>	
8	Introduction	
9	Mace Rothenberg, M.D.	19
10	Axitinib Background	
11	Glen Andrews, M.S.	24
12	Summary of Clinical Efficacy	
13	Brian Rini, M.D.	29
14	Summary of Clinical Safety	
15	Sinil Kim, M.D.	38
16	Clinical Perspective on Axitinib and	
17	Benefit/Risk in Patients with RCC	
18	Robert Motzer, M.D.	43
19	Concluding Remarks	
20	Mace Rothenberg, M.D.	53
21		
22		



1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentation</b>	
4	NDA 202324: Inlyta (Axitinib)	
5	Amy McKee, M.D.	54
6	Clarifying Questions from the Committee	71
7	Questions to the Committee and	
8	Committee Discussion	161
9	Adjournment	184
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(7:58 a.m.)

**Call to Order**

**Introduction of Committee**

1 DR. WILSON: Okay. Let's go ahead and get  
2 started.

3 Yvette?

4 DR. WAPLES: Good morning. I would first  
5 like to remind everyone present to please silence  
6 your cell phones, BlackBerrys, and other devices if  
7 you have not done so. I would like to identify the  
8 FDA press contact, Ms. Erica Jefferson.

9 If you are here, please stand. Thank you.

10 DR. WILSON: Good morning. My name is  
11 Wyndham Wilson, and I'm the chair of the Oncologic  
12 Drugs Advisory Committee, and I would now like to  
13 call the meeting to order. I'd like to have us go  
14 around the room and state our name and where we're  
15 from into the record, and I'll start on the right  
16 with Dr. Curt.

17 DR. CURT: Gregory Curt, medical oncologist,  
18 acting industry representative to the ODAC.  
19  
20  
21  
22

1 DR. BUZDAR: Aman Buzdar from MD Anderson  
2 Cancer Center, Houston.

3 DR. FOJO: Tito Fojo, medical oncologist,  
4 National Cancer Institute, medical oncology branch.

5 DR. DIEHL: Lou Diehl, Duke University,  
6 lymphoma branch.

7 DR. LOGAN: Brent Logan, biostatistician,  
8 Medical College of Wisconsin.

9 DR. VOSE: Julie Vose, University of  
10 Nebraska, hematology oncology.

11 DR. ZONES: Jane Zones, I'm the acting  
12 consumer rep, and I'm a medical sociologist.

13 DR. WAPLES: Yvette Waples. I'm the DFO for  
14 this meeting. Thank you.

15 DR. WILSON: Wyndham Wilson, medical  
16 oncologist, NCI.

17 DR. SEKERES: Mikkael Sekeres, medical  
18 oncologist, Cleveland Clinic.

19 DR. FREEDMAN: Ralph Freedman, gynecologic  
20 oncology, MD Anderson Cancer Center.

21 DR. KELLY: William Kelly, medical  
22 oncologist, Thomas Jefferson University.

1 DR. GARNICK: Marc Garnick, medical  
2 oncologist, Beth Israel Deaconess Medical Center in  
3 Boston.

4 MS. MEYER: I'm Mary Meyer. I'm the patient  
5 representative.

6 DR. CHATTOPADHYAY: Somesh Chattapadhyay,  
7 FDA statistics and reviewer for this application.

8 DR. MCKEE: Amy McKee, medical officer for  
9 this application, FDA.

10 DR. JOHNSON: John Johnson, clinical team  
11 leader, FDA.

12 DR. IBRAHIM: Amna Ibrahim, deputy director  
13 at DOP1.

14 DR. PAZDUR: Richard Pazdur, office  
15 director, Office of Hematology Oncology Products.

16 DR. WILSON: Welcome all.

17 For the topics such as those being discussed  
18 at today's meeting, there are often a variety of  
19 opinions, some of which are quite strongly held.  
20 Our goal is that today's meeting will be a fair and  
21 open forum for discussion of these issues and that  
22 individuals can express their views without

1 interruption. Thus, as a gentle reminder,  
2 individuals will be allowed to speak into the  
3 record only if recognized by the chair. We look  
4 forward to a productive meeting.

5 In the spirit of the Federal Advisory  
6 Committee Act and the Government in the Sunshine  
7 Act, we ask that the advisory committee members  
8 take care that their conversations about the topic  
9 at hand take place in the open forum of the  
10 meeting. We are aware that members of the media  
11 are anxious to speak with the FDA about these  
12 proceedings. However, FDA will refrain from  
13 discussing the details of this meeting with the  
14 media until its conclusion.

15 I would like to remind everyone present to  
16 please silence your cell phones and other  
17 electronic devices if you've not already done so.  
18 The committee is also reminded to please refrain  
19 from discussing the meeting topic during the breaks  
20 or lunch.

21 We will now have the conflict of interest  
22 statement read.

1           Could I please have Dr. Armstrong introduce  
2 herself into the record?

3           DR. ARMSTRONG: I'm Deborah Armstrong,  
4 medical oncologist from Johns Hopkins.

5           DR. WILSON: Thank you very much.

6                           **Conflict of Interest Statement**

7           DR. WAPLES: Good morning again. The Food  
8 and Drug Administration is convening today's  
9 meeting of the Oncologic Drugs Advisory Committee  
10 under the authority of Federal Advisory Committee  
11 Act of 1972. With the exception of the industry  
12 representative, all members and temporary voting  
13 members of the committee are special government  
14 employees or regular federal employees from other  
15 agencies and are subject to federal conflict of  
16 interest laws and regulations.

17           The following information on the status of  
18 this committee's compliance with federal ethics and  
19 conflict of interest laws, covered by but not  
20 limited to those found at 18 U.S.C. Section 208 and  
21 Section 712 of the Federal Food, Drug and Cosmetic  
22 Act, FD&C Act, is being provided to participants in

1 today's meeting and to the public.

2 FDA has determined that members and  
3 temporary voting members of this committee are in  
4 compliance with federal ethics and conflict of  
5 interest laws. Under 18 U.S.C. Section 208,  
6 Congress has authorized FDA to grant waivers to  
7 special government employees and regular federal  
8 employees who have a potential financial conflict  
9 when it is determined that the agency's need for a  
10 particular individual's services outweighs his or  
11 her potential financial conflict of interest.

12 Under Section 712 of the FD&C Act, Congress  
13 has authorized FDA to grant waivers to special  
14 government employees and regular federal employees  
15 with potential financial conflicts when necessary  
16 to afford the committee essential expertise.

17 Related to discussion of today's meeting,  
18 members and temporary voting members of this  
19 committee have been screened for potential  
20 financial conflicts of interests of their own as  
21 well as those imputed to them, including those of  
22 their spouses or minor children, and, for purposes

1 of 18 U.S.C. Section 208, their employers. These  
2 interests may include investments, consultant,  
3 expert witness testimony, contracts, grants,  
4 CRADAs, teaching, speaking, writing, patents and  
5 royalties and primary employment.

6 Today the committee will discuss new drug  
7 application NDA 202324 with a proposed trade name  
8 Inlyta, axitinib tablets, application submitted by  
9 Pfizer. The proposed indication is for treatment  
10 of patients with advanced renal cell carcinoma.  
11 This is a particular matters meeting during where  
12 specific matters related to Pfizer's NDA for Inlyta  
13 will be discussed.

14 A copy of this statement will be available  
15 for review at the registration table during this  
16 meeting and will be included as part of the  
17 official transcript. To ensure transparency, we  
18 encourage all standing committee members and  
19 temporary voting members to disclose any public  
20 statements that they have made concerning the  
21 product at issue.

22 With respect to FDA's invited industry



1 representative, we would like to disclose that  
2 Dr. Gregory Curt is participating in this meeting  
3 as a non-voting industry representative, acting on  
4 behalf of regulated industry. Dr. Curt's role at  
5 this meeting is to represent industry in general  
6 and not any particular company. Dr. Curt is  
7 employed by AstraZeneca.

8 We would like to remind members and  
9 temporary voting members that if the discussion  
10 involved any other products or firms not already on  
11 the agenda for which an FDA participant has a  
12 personal or imputed financial interest, the  
13 participants need to exclude themselves from such  
14 involvement, and their exclusion will be noted for  
15 the record. FDA encourages all other participants  
16 to advise the committee of any financial  
17 relationships that they may have with the firm at  
18 issue. Thank you.

19 DR. WILSON: We will now proceed with the  
20 FDA opening remarks. I would like to remind the  
21 public observers at this meeting that while this  
22 meeting is open for public observation, public

1 attendees may not participate except at the  
2 specific request of the panel.

3 DR. PAZDUR: We don't --

4 DR. WILSON: Okay. Just reading off the  
5 script. Thank you very much.

6 Both the Food and Drug Administration and  
7 the public believe in a transparent process for  
8 information gathering and decision making. To  
9 ensure such transparency at the advisory committee  
10 meeting, FDA believes that it is important to  
11 understand the context of an individual's  
12 presentation.

13 For this reason, FDA encourages all  
14 participants, including the sponsor's non-employee  
15 presenters, to advise the committee of any  
16 financial relationships that they may have with the  
17 firm at issue, such as consulting fees, travel  
18 expenses, honoraria and interests in the sponsor,  
19 including equity interests and those based upon the  
20 outcome of the meeting.

21 Likewise, FDA encourages you at the  
22 beginning of your presentation to advise the

1 committee if you do not have such financial  
2 relationships. If you choose not to address this  
3 issue of financial relationships at the beginning  
4 of your presentation, it will not preclude you from  
5 speaking.

6 I would now like to proceed to the sponsor's  
7 presentation. I believe Dr. Rothenberg will be  
8 giving it.

9 **Sponsor Presentation - Mace Rothenberg**

10 DR. ROTHENBERG: Thank you, Dr. Wilson, and  
11 good morning, members of ODAC, Dr. Pazdur, FDA  
12 staff, ladies and gentlemen. We are here today to  
13 discuss our application for axitinib in the  
14 treatment of patients with renal cell carcinoma.

15 My name is Mace Rothenberg. I'm senior vice  
16 president for clinical development and medical  
17 affairs at Pfizer.

18 Glen Andrews is the axitinib team leader  
19 from Pfizer and will provide background on the  
20 compound.

21 Dr. Brian Rini from the Cleveland Clinic is  
22 the principal investigator of the pivotal phase 3

1 trial known as A 4061032 or AXIS 1032 and will  
2 present rationale for the study's design and a  
3 summary of the clinical efficacy of axitinib in  
4 advanced RCC.

5 Dr. Sinil Kim from Pfizer is the global  
6 clinical leader for axitinib and will present an  
7 overview of clinical safety.

8 Dr. Robert Motzer from Memorial Sloan  
9 Kettering Cancer Center, a physician who sees and  
10 treats these patients every day, will provide an  
11 overview of treatment options in this disease and  
12 share his perspective on how axitinib could  
13 contribute to the treatment of patients with  
14 advanced renal cell carcinoma.

15 I will then finish our presentation with  
16 concluding remarks.

17 We're also joined by Dr. David Cella,  
18 professor and chair of the department of medical  
19 social sciences at Northwestern University's  
20 Feinberg School of Medicine. He is an expert in  
21 patient-reported outcomes and is a consultant to us  
22 for this purpose today.

1           In 2011, more than 60,000 people will  
2       develop renal cell carcinoma in the United States.  
3       Approximately 20 percent of them will present with  
4       metastatic disease. And in addition, 30 percent of  
5       patients who present with local or locally advanced  
6       disease will relapse and require systemic  
7       treatment. As a result, approximately 13,000  
8       Americans will die of this disease this year.

9           There are three established targets for the  
10      systemic treatment of advanced RCC, the immune  
11      system, the mTOR signaling pathway, and the  
12      vascular endothelial growth factor pathway.  
13      Inhibition of VEGF receptor signaling forms the  
14      backbone of advanced RCC therapy. Mechanistically,  
15      this pathway is triggered through the inactivation  
16      of Von-Hippel Lindau tumor suppressor gene, leading  
17      to high levels of VEGF. Signaling by VEGF is key  
18      to angiogenesis and a driver of renal cell  
19      carcinoma.

20           There are six targeted therapies that have  
21      been FDA approved for the treatment of advanced  
22      RCC. As you can see here, the pivotal trials that

1 led to approval of all by one of them had  
2 progression-free survival as the primary endpoint.  
3 Please note that three trials used placebo in the  
4 control arm, and three trials compared the new  
5 agent to interferon alpha. None of these trials  
6 used active targeted therapy in the control arm.

7 Here are the results of those studies. As  
8 you can see, the hazard ratio for progression-free  
9 survival range from .33 to .66, representing a  
10 substantial delay of tumor progression, a  
11 clinically meaningful endpoint for this disease.  
12 In addition, with the exception of temsirolimus,  
13 none of these studies demonstrated a significant  
14 improvement in overall survival, likely due to  
15 crossover or receipt of subsequent therapies by  
16 patients enrolled in these studies.

17 This is a graphical depiction of those data.  
18 While there was a distribution of hazard ratios for  
19 PFS, all of which were significantly below 1, there  
20 was a much narrower distribution of hazard ratios  
21 for overall survival, all of which were close to 1.

22 How does this relate to today's

1 presentation? The primary endpoint for the pivotal  
2 AXIS 1032 study was progression-free survival. The  
3 study design and selection of the primary endpoint  
4 were agreed to by the FDA in a special protocol  
5 assessment in 2008. The control arm for AXIS 1032  
6 consisted of sorafenib, a drug FDA approved for  
7 patients with advanced renal cell carcinoma. This  
8 is the first phase 3 trial in advanced RCC to use  
9 an active VEGFR TKI comparator in the control arm  
10 and the first phase 3 trial to evaluate two VEGFR  
11 inhibitors in a head-to-head comparison.

12 It's important to recognize that the use of  
13 a VEGFR TKI as an active control in the study  
14 creates a higher hurdle for the experimental arm to  
15 demonstrate superiority than if placebo or best  
16 supportive care were used. As has been observed in  
17 other phase 3 trials in advanced RCC, the receipt  
18 of active therapy after completion of study  
19 treatment cannot be controlled and could attenuate  
20 any difference in overall survival attributed to  
21 the study drug. In addition, we know survival post  
22 progression can also have a similar effect.

1 Keeping these factors in mind during today's  
2 discussion will help place the data to be presented  
3 today in proper perspective.

4 I would now like to introduce Glen Andrews,  
5 axitinib team leader from Pfizer, who will provide  
6 an overview of axitinib.

7 **Sponsor Presentation - Glen Andrews**

8 DR. ANDREWS: Thank you, Dr. Rothenberg, and  
9 good morning.

10 My name is Glen Andrews. I'm the team  
11 leader for axitinib at Pfizer, and as we've heard  
12 from Dr. Rothenberg, great progress has been made  
13 in the treatment of RCC extending overall survival  
14 from 12 months in the area of cytokines out to  
15 24 months by targeting the VEGF pathway. But  
16 patients still progress on the current treatments,  
17 and most agents are multi-targeted TKIs associated  
18 with additional toxicities. Consequently, there is  
19 a need for new agents with increased efficacy and  
20 reduced toxicity.

21 Axitinib then was specifically designed with  
22 that intent using structured base drug design. As



1 you can see from the co-crystal structure on the  
2 right, axitinib in green fits tightly in the deep  
3 pocket of the ATP binding site in the kinase domain  
4 of the VEGF receptor and interacts with the  
5 juxtamembrane domain side-chain, the dash line  
6 here. This is a characteristic specific to  
7 axitinib, and the tight fit was intended to provide  
8 greater selectivity and potency and ultimately,  
9 greater efficacy coupled with less toxicity.

10 Non-clinically, we were able to show that  
11 axitinib was more potent and selective than other  
12 approved VEGFR TKIs. And on the left-hand side  
13 here on the chart, I've shown the potent and  
14 specific inhibition of autophosphorylation of VEGF  
15 receptors 1, 2 and 3 for axitinib and other TKIs.  
16 A lower IC50 indicates greater potency, and in the  
17 plot, the dividing line is at 1 nanomolar.

18 Notably, axitinib's IC50 is a sub-nanomolar and  
19 tenfold smaller than for other agents, indicating  
20 its greater potency for VEGF receptors.

21 The right-hand side then shows selectivity  
22 of these TKIs for VEGF receptor 2 relative to other

1 related targets. As you can see, axitinib is  
2 highly selective for VEGF receptor 2 relative to  
3 other targets.

4 In phase 2 studies, we saw clinical evidence  
5 of the activity of axitinib translated from this  
6 preclinical work, and we've shown these data here.  
7 These are all single-arm studies of axitinib  
8 conducted at a starting dose of 5 milligram BID and  
9 including a primary endpoint of objective response  
10 rate.

11 In the cytokine refractory study shown in  
12 blue, we saw a response rate of 44 to 50 percent,  
13 and a median progression-free survival between 11  
14 to 13.7 months. The median overall survival of  
15 almost 30 months in phase 2 Study A 4061012 in  
16 cytokine refractory patients was also encouraging.

17 In an even more refractory study, the last  
18 study here, Study 1023, a response rate of  
19 23 percent was seen. In fact, in this study, some  
20 patients were refractory to both sorafenib,  
21 sunitinib, and patients had received a median of  
22 three prior treatments. In total then, these data

1 provided evidence of the antitumor activity of  
2 axitinib in refractory patients and provided  
3 confidence to start a phase 3 study.

4 Our principal investigator, Dr. Brian Rini,  
5 will describe the design and efficacy data from our  
6 phase 3 study, AXIS A 4061032 later. Here, I've  
7 just shown the key timelines for this study. I  
8 would emphasize that we designed the study in  
9 collaboration with the FDA, and in early 2008  
10 reached a SPA agreement, special protocol  
11 assessment agreement, on the protocol, including  
12 agreement regarding the primary endpoint of  
13 progression-free survival and sorafenib as a  
14 comparator.

15 Beyond that, the first subject was dosed in  
16 September 2008, and the last subject was recruited  
17 in July 2010. Analysis of PFS occurred; final  
18 analysis of PFS occurred in November 2010, and  
19 submission of the NDA occurred in April 2011.

20 I would note at the time that we submitted  
21 the NDA, there were 223 OS events, and we presented  
22 these interim OS results in the briefing document

1 to the ODAC panel. The statistical analysis plan  
2 required 417 events to trigger the final overall  
3 survival analysis. We recently passed that  
4 milestone and triggered the final OS analysis.

5 We shared these results with the FDA at the  
6 end of November, and although they have not yet  
7 received the datasets in order to verify the  
8 results and do their own independent analysis, we  
9 have agreed to share these results with ODAC today  
10 in order to provide that updated information to  
11 you. You will hear more about these results from  
12 Dr. Rini.

13 We have an extensive safety database, and in  
14 total, there are approximately two and a half  
15 thousand subjects treated with axitinib. These  
16 then are the key RCC studies with approximately 900  
17 RCC patients, 537 of whom have been treated with  
18 axitinib at a starting dose of 5 milligram BID.  
19 I've already discussed the three phase 2 studies,  
20 and I've highlighted in bold here the phase 3 study  
21 A 4061032 where 723 patients were randomized to  
22 axitinib or sorafenib with 361 randomized to

1 axitinib.

2 The basis then for our NDA is AXIS A 4061032  
3 study in which the primary endpoint was achieved  
4 and demonstrated axitinib has greater efficacy  
5 compared with sorafenib in approved multi-targeted  
6 TKI.

7 In our comprehensive database, the adverse  
8 events seen were expected for the class and  
9 manageable with generally similar overall incidents  
10 as sorafenib. In total then, this provided, in our  
11 opinion, a favorable benefit-risk profile, and we  
12 look forward to expanding on this conclusion in the  
13 rest of the presentation.

14 I'd now like to invite Dr. Brian Rini to  
15 discuss the efficacy from the pivotal phase 3 study  
16 AXIS A 4061032.

17 **Sponsor Presentation - Brian Rini**

18 DR. RINI: Thank you. Good morning,  
19 everyone.

20 My name is Brian Rini. I'm an associate  
21 professor of medicine at the Cleveland Clinic in  
22 Cleveland, Ohio. I'm a paid consultant for Pfizer

1 and have received travel expenses for my attendance  
2 at this meeting today. However, I hold no personal  
3 financial interests in the outcome of this meeting.

4 I've been treating patients with advanced  
5 kidney cancer for approximately 13 years and  
6 studying axitinib for approximately eight years  
7 since the early phase 2 trials. I was the lead  
8 investigator on both of the phase 2 axitinib  
9 studies in the United States and was the principal  
10 investigator for the pivotal study AXIS 1032.

11 Today, I'll be presenting a summary of clinical  
12 efficacy from that pivotal study.

13 As you heard from Dr. Andrews, there was  
14 rather robust activity of this drug in a refractory  
15 setting, demonstrated in single-arm phase 2  
16 studies, and on that basis, a prospective phase 3  
17 trial was conducted, the schema of which is shown  
18 here. These were metastatic kidney cancer patients  
19 who had progressive disease after one prior  
20 systemic first-line regimen, one of the four  
21 regimens that you see listed there.

22 Patients were stratified by ECOG performance

1 status and type of prior therapy and then  
2 randomized with equal probability to receive either  
3 axitinib at a dose of 5 milligrams twice daily or  
4 sorafenib at a standard dose in schedule of 400  
5 milligrams twice daily.

6           There are two points about trial design that  
7 deserve further comment before I talk about the  
8 actual study results. The first is the patient  
9 population. As noted, one of several prior  
10 systemic regimens was allowed as frontline therapy.  
11 These were agents that were available for use when  
12 the study was initiated and are currently used  
13 today both in the U.S. and globally for the  
14 treatment of initial kidney cancer. This allowed  
15 for a global study again reflecting different  
16 treatment choices and availability within each of  
17 the regions.

18           The second item is the use of an active drug  
19 that is sorafenib as the control arm. As  
20 Dr. Rothenberg mentioned, this was the first study  
21 to compare one VEGF pathway targeted TKI to  
22 another, in fact, the first to compare any active

1 agents against other active agents.

2 Sorafenib was FDA approved almost exactly  
3 six years ago for the treatment of advanced kidney  
4 cancer based on a phase 3 study that was conducted  
5 in refractory subjects. Since the time of approval  
6 and up until currently, this drug is widely used in  
7 refractory patients, and retrospective data and  
8 later prospective clinical trials support the  
9 activity of this drug in this setting, as you see  
10 from the references listed.

11 The key eligibility for this phase 3  
12 clinical trial included metastatic clear cell RCC  
13 with measurable disease. Patients must have had  
14 RECIST defined progressive disease after one and  
15 only one prior first-line systemic regimen. This  
16 prior regimen must have contained one or more of  
17 the following, either sunitinib, bevacizumab,  
18 interferon, temsirolimus or cytokines. Adequate  
19 performance status and adequate end-organ function  
20 was also required.

21 The primary endpoint for this phase 3 trial  
22 was progression-free survival for a blinded,



1 independent review committee or IRC. Secondary  
2 endpoints included overall survival, objective  
3 response rate, duration of response, safety, and  
4 kidney cancer specific symptoms and health status.

5 The planned sample size was 650 patients  
6 powered for the primary endpoint of progression-  
7 free survival but also allowing analysis of any  
8 overall survival difference. This primary PFS  
9 analysis per IRC was conducted using a stratified  
10 log rank test with a one-sided alpha of 0.025.  
11 This trial had 90 percent power to detect a  
12 reduction in the risk of progression or death of  
13 29 percent, corresponding to a target hazard ratio  
14 of 0.714. There was one interim analysis for  
15 futility planned at 50 percent of the total PFS  
16 events.

17 Here are the results: 723 patients were  
18 randomized equally to either axitinib or sorafenib.  
19 As you can see, this is a very typical kidney  
20 cancer population, median age of approximately 60  
21 with a wide range, male predominant with  
22 approximately three-quarters of patients being

1 male, relatively equal split between ECOG  
2 performance status zero and 1 and balance between  
3 the arms. This was a global study which accrued  
4 across the world, as you see listed there. Twenty-  
5 three percent of the patients in the study were  
6 accrued from the United States. The MSKCC  
7 prognostic risk groups were balanced between the  
8 arms.

9 Continuing with baseline disease  
10 characteristics, you see approximately half the  
11 patients received prior sunitinib-containing  
12 regimens, a third prior cytokines, and a small  
13 proportion of patients, prior bevacizumab or prior  
14 temsirolimus-containing regimens.

15 Metastatic sites were typical for kidney  
16 cancer as a lung predominant disease. And further,  
17 about 90 percent of patients had received prior  
18 nephrectomy and 20 percent prior radiation, which  
19 again is very typical of phase 3 kidney cancer  
20 clinical trials. Of note in the U.S. population,  
21 approximately 20 to 25 percent had received prior  
22 treatment with cytokines.

1           Here's the patient disposition at the time  
2 of the analysis. As mentioned, 723 patients were  
3 randomized equally between the arms. At the time  
4 of data analysis, 38 percent of patients were still  
5 on axitinib, and 28 percent of patients were still  
6 on sorafenib.

7           The primary endpoint of this phase 3 trial  
8 was met as represented here. This is the PFS in  
9 the overall population as determined by an  
10 independent review committee. As you can see,  
11 there was an advantage to axitinib over sorafenib  
12 in this refractory kidney cancer population with a  
13 hazard ratio of 0.665 representing a 33 percent  
14 reduction in the risk of progression or death for  
15 patients treated with axitinib. The hazard ratio  
16 that the study was designed to achieve, as I  
17 mentioned, was 0.714.

18           This is the forest plot showing major  
19 subgroups of patients. As you can see, there are  
20 very small sample sizes for the bevacizumab  
21 containing, temsirolimus containing and in the  
22 bottom row, the other regions, precluding

1 meaningful analysis of those subsets. However, the  
2 other major subsets based on performance status,  
3 type of prior therapy, race, gender, age,  
4 et cetera, all show a hazard ratio of less than 1  
5 in favor of a progression-free survival advantage  
6 to axitinib. This supports a consistent treatment  
7 effect of this drug across major patient groups.

8 In the FDA's briefing document, the agency  
9 raised the question on whether the PFS outcome of  
10 this study was indeed relevant to a U.S. patient  
11 population. As shown in this slide, the U.S.  
12 patient population, the hazard ratio was 0.613 with  
13 a change in the median progression-free survival of  
14 approximately just over three months, similar or  
15 even a little bit better than the overall  
16 population with a hazard ratio of .665 and a median  
17 PFS difference of two months.

18 These data show the objective tumor  
19 response, which was a secondary endpoint. As you  
20 can see, the objective partial response for  
21 axitinib was approximately double that of  
22 sorafenib, 19.4 percent compared to 9.4 percent

1 with a significant p value that you see listed.  
2 Responses were durable in both arms with a median  
3 duration of response of approximately 11 months.

4 An interim analysis of overall survival was  
5 undertaken when the final PFS was analyzed in late  
6 August 2010. As you see from this graph, overall  
7 survival was found to be similar between axitinib  
8 and sorafenib. At the time of this data analysis,  
9 the overall survival data was immature with about  
10 30 percent of patients having an OS event, which  
11 was 50 percent of the total required events and a  
12 median follow-up of 11 months.

13 As Dr. Andrews mentioned, here are the final  
14 overall survival data from a recent analysis. As  
15 you can see, the final overall survival remains  
16 similar between axitinib and the active comparator  
17 arm of sorafenib in this overall population. The  
18 hazard ratio is approximately 1. At 0.969, you see  
19 overlapping curves. Also of note is the long  
20 overall survival in both arms of approximately  
21 20 months in a refractory kidney cancer population.

22 In conclusion, there is a statistically

1 significant and clinically meaningful improvement  
2 in the efficacy parameters of progression-free  
3 survival and objective response rate for axitinib  
4 compared to an active comparator, that is  
5 sorafenib. The results from this pivotal phase 3  
6 and the supportive phase 2 studies are consistent.  
7 The treatment benefit of axitinib was apparent  
8 across all major patient groups, and the results in  
9 the U.S. population were consistent with the entire  
10 study population.

11 Thank you for your attention. I'd now like  
12 to introduce Dr. Sinil Kim, clinical lead for the  
13 axitinib development program to discuss safety.

14 **Sponsor Presentation - Sinil Kim**

15 DR. KIM: Thank you, Dr. Rini. I'm Sinil  
16 Kim, and I work for Pfizer oncology as the global  
17 clinical lead for the axitinib development program,  
18 and I will summarize the clinical safety for  
19 axitinib.

20 The total safety database includes over  
21 2,500 patients who received either single agent  
22 axitinib or axitinib in combination with

1 chemotherapy. Among these 2,500 subjects, 699  
2 patients with cancer received axitinib as a single  
3 agent of whom 537 had renal cell cancer. The  
4 majority of these renal cell carcinoma patients  
5 came from the pivotal phase 3 study, A 4061032.  
6 The rest received axitinib in phase 2 studies.

7 The pivotal phase 3 study also included 355  
8 patients who received the active comparator  
9 sorafenib. Most of my safety presentation will  
10 focus on the findings from the phase 3 study to  
11 allow comparison with active comparator sorafenib.  
12 Also, the results from the pivotal phase 3 study  
13 was consistent with the safety profile for the  
14 larger pooled population.

15 In the pivotal phase 3 study, the duration  
16 of exposure was 6.4 months for axitinib versus  
17 5.0 months for sorafenib. Dose modification or  
18 treatment delays due to adverse events occurred in  
19 55 percent of axitinib versus 62 percent for  
20 sorafenib. About a third of the patients receiving  
21 axitinib had their dose increased as allowed by the  
22 protocol, and 31 percent in the axitinib arm had

1 dose reduction versus 52 percent for sorafenib.  
2 The median dose intensity was well preserved in  
3 both arms of the study.

4 As expected for patients with advanced RCC,  
5 almost every patient had at least one adverse event  
6 in the study. About half of these adverse events  
7 were grade 3 in either arm, and grade 4 adverse  
8 events occurred in 6 percent of patients for  
9 axitinib arm and 10 percent for the sorafenib. The  
10 treatment discontinuations due to adverse events  
11 were 9 percent for axitinib versus 13 percent for  
12 sorafenib.

13 The SAEs were similar in both arms, and also  
14 a similar number of patients died in both arms of  
15 the study. Ten percent of patients in the axitinib  
16 died during the treatment or within 28 days of the  
17 last dose versus 7 percent of sorafenib. And most  
18 of these deaths were due to disease progression.  
19 The treatment-related deaths were 1 percent in each  
20 arm.

21 This slide shows the most common adverse  
22 events. Hypertension, dysphonia and hypothyroidism



1 were numerically more frequent in the axitinib arm,  
2 whereas skin toxicity such as hand-foot syndrome,  
3 rash and alopecia were numerically more frequent  
4 the sorafenib arm.

5 As mentioned earlier in the safety overview  
6 slide, the discontinuation rate due to adverse  
7 events were 9 percent for axitinib versus  
8 13 percent for sorafenib. Numerically, more  
9 patients discontinued axitinib for general  
10 disorders, 4 percent versus 2 percent, and these  
11 included asthenia, fatigue and disease progression.  
12 On the other hand, fewer patients discontinued  
13 axitinib for GI disorders, 0.6 percent for axitinib  
14 and 3.1 percent for sorafenib, or skin disorders,  
15 0.3 percent for axitinib versus 3.1 percent for  
16 sorafenib.

17 Among the most common adverse events  
18 associated with axitinib, hypertension led to  
19 axitinib discontinuation in 1 out of 359 patients,  
20 and none of the patients discontinued axitinib  
21 because of diarrhea, dysphonia or hypothyroidism.

22 Looking at the hematology lab results, the

1 axitinib arm had 35 percent rate of anemia versus  
2 52 percent for sorafenib, and there were low rates  
3 of thrombocytopenia or neutropenia in either arm.

4 For the overall chemistry lab results, most  
5 of the abnormalities were of low grade, including  
6 elevated creatinine and hypocalcemia. However, on  
7 the sorafenib arm, there were 16 percent and  
8 12 percent incidence of grade 3 hypophosphatemia  
9 and increased lipase, respectively, and this was  
10 consistent with previous sorafenib studies.

11 The liver function test, alkaline  
12 phosphatase, ALT AST and bilirubin elevation were  
13 similar in both arms, and hardly any patients had  
14 grade 3 or grade 4 elevation in either arm of the  
15 study.

16 Looking at selected adverse events of  
17 interest for drugs of this class, there were more  
18 venous thromboembolic events on the axitinib arm,  
19 including one death from pulmonary embolism. On  
20 the other hand, there were more hemorrhagic events  
21 on the sorafenib, including three deaths on the  
22 sorafenib arm versus one on the axitinib arm.

1 There are fewer arterial thromboembolic events and  
2 fewer other adverse events that are listed on this  
3 slide.

4 In summary, most common adverse events were  
5 expected for drugs of this class. Some toxicities  
6 such as hypertension, dysphonia, hypothyroidism  
7 were more frequent in axitinib than sorafenib.  
8 However, dermatological toxicities such as  
9 hand-foot syndrome, rash, alopecia was less  
10 numerically frequent on the axitinib arm. grade 3  
11 or higher thromboembolic events, hemorrhage, GI  
12 perforation, RPLS, and hypothyroidism were  
13 uncommon. And grade 3 and 4 lab abnormalities were  
14 also uncommon. Most adverse events were manageable  
15 with a low rate of discontinuation.

16 Thank you. Now I'd like to invite  
17 Dr. Robert Motzer to the podium.

18 **Sponsor Presentation - Robert Motzer**

19 DR. MOTZER: Good morning. My name is  
20 Dr. Robert Motzer. I'm an attending physician at  
21 the Memorial Sloan Kettering Cancer Center in New  
22 York. I'm a paid consultant for Pfizer and have

1 received travel expenses for my attendance at the  
2 ODAC meeting today. I hold no financial interests  
3 based upon the outcome of this meeting.

4 I've been treating patients with advanced  
5 RCC for over 20 years and do so on a daily basis.  
6 I chair the NCCN committee to provide  
7 recommendations regarding treatment paradigms in  
8 patients with metastatic RCC. I was a co-PI in the  
9 pivotal study 1032, and I participated in an  
10 earlier phase 2 trial with axitinib. So I have  
11 hands-on experience with this agent as well as  
12 extensive experience with all the other approved  
13 targeted drugs. Today I will be presenting  
14 findings from that pivotal study and putting those  
15 findings in the context of other approved  
16 therapies.

17 The landscape of RCC has been altered by the  
18 emergence of targeted agents. TKIs with activity  
19 against VEGFRs have emerged to form the backbone of  
20 advanced RCC therapy. Further improvement in  
21 patient outcome is needed, including improved  
22 efficacy over currently approved therapies and

1 fewer toxicities that are troublesome to patients.

2           Axitinib is a new VEGF-targeted TKI with  
3 significantly greater potency and selectivity  
4 against VEGFR compared with currently approved  
5 VEGFR TKIs. All these agents are multi-targeted,  
6 and some of the least desirable toxicity comes from  
7 off-target inhibition, including skin toxicity and  
8 myelosuppression. Axitinib's molecular  
9 characteristics were aimed at achieving greater  
10 efficacy coupled with less toxicity compared with  
11 first generation TKIs.

12           In the phase 3 study, we choose sorafenib as  
13 the comparator. Sorafenib is a widely used  
14 compound for the treatment of RCC. It was the  
15 first tyrosine kinase inhibitor to be approved by  
16 the FDA in 2005. The pivotal study for sorafenib  
17 was conducted in mainly cytokine refractory  
18 subjects. As the graph shows, sorafenib was  
19 associated with a 56 percent improvement in disease  
20 progression or death over a placebo control in its  
21 pivotal phase 3 study.

22           Sorafenib is also known to be active in TKI

1 refractory subjects and is widely used in the  
2 community in this setting. Phase 2 data published  
3 by Di Lorenzo showed that sorafenib has a PFS of  
4 nearly four months in sunitinib refractory  
5 patients, and Garcia, et al. showed that sorafenib  
6 had a PFS of 4.4 and 3.7 months in sunitinib and  
7 bevacizumab refractory patients. This data  
8 indicates the activity of sorafenib in TKI  
9 refractory patients.

10 In the phase 3 trial, the primary endpoint  
11 of PFS was met, and axitinib demonstrated a greater  
12 PFS time compared with sorafenib. This is the  
13 first study to show improvement over an active  
14 VEGFR-targeted TKI comparator. In all previous  
15 phase 3 studies with FDA-approved drugs,  
16 comparisons were made to placebo or interferon.  
17 The hazard ratio was 0.665, which represents a  
18 33 percent decrease in risk of progression or death  
19 for axitinib over an active comparator, sorafenib.  
20 These results are both statistically significant  
21 and also clinically meaningful.

22 A question may be raised as to whether the

1 outcome data for this trial applies to the United  
2 States population. Nearly 25 percent of the  
3 patients treated on this trial were from the United  
4 States. According to country accrual, the United  
5 States was the top country in accruing to this  
6 study with more than 60 sites open in the United  
7 States. A subset analysis of their  
8 progression-free survival is shown here. The  
9 hazard ratio in this subset in the U.S. population  
10 was 0.613, demonstrating a strong benefit in  
11 progression-free survival for axitinib over  
12 sorafenib.

13 In cytokine refractory patients, the median  
14 progression-free survival for sorafenib in the  
15 axitinib phase 3 AXIS study was similar to that  
16 obtained in the original sorafenib TARGET  
17 registration study in which sorafenib was compared  
18 to placebo. In sunitinib refractory patients in  
19 the AXIS trial, the median progression-free  
20 survival for sorafenib was 3.4 months. In both  
21 clinical settings and subsets of patients treated  
22 on this trial, I believe sorafenib is active and

1 was an active comparator in this trial.

2           Axitinib produced a longer progression-free  
3 survival than sorafenib in the phase 3 trial,  
4 meeting the primary endpoint of the trial and  
5 demonstrating greater activity compared to  
6 sorafenib. First-line therapy in this study was  
7 heterogeneous, representing the true world  
8 population of patients receiving second-line  
9 therapy for this disease. PFS was examined  
10 according to subsets by prior therapy.

11           In the cytokine refractory subgroup,  
12 axitinib showed a 54 percent improvement in the  
13 risk of progression or death. In the sunitinib  
14 refractory subgroup, axitinib showed a 26 percent  
15 improvement in the risk of progression or death.  
16 Both of these results were statistically  
17 significant. The two other subgroups, temsirolimus  
18 and bevacizumab, were too small for meaningful  
19 conclusions to be drawn.

20           Cross-study comparisons have limitations.  
21 However, it is of interest to note that a  
22 comparison of axitinib, sunitinib, pazopanib and



1 sorafenib in cytokine refractory subjects suggest  
2 that axitinib is the most effective VEGFR TKI with  
3 a median progression-free survival of 12.1 months,  
4 longer than that of 8.8 months with sunitinib,  
5 7.4 months for pazopanib, and 5.5 months for  
6 sorafenib, based on the data from their phase 3  
7 trial.

8           These data reflect first TKI patients and  
9 patients progressing on cytokines but without  
10 resistance or treatment to prior TKI therapy. In  
11 this setting, axitinib may be the most effective of  
12 any of the TKIs studied or approved to date.

13           The RECORD-1 was the phase 3 registrational  
14 study for everolimus in patients who had progressed  
15 after treatment with sunitinib and/or sorafenib and  
16 is the only phase 3 trial reported in this  
17 population. The comparator arm was placebo rather  
18 than an active agent such as sorafenib.

19           In this study, almost 80 percent of patients  
20 had received more than one prior therapy for their  
21 metastatic disease. Some had received four or five  
22 prior treatments. In other words, this was mainly

1 a third-line study in a more refractory population.  
2 This study was therefore different from the  
3 axitinib phase 3 study which only included patients  
4 who were refractory to a first-line systemic  
5 treatment.

6 Everolimus showed an improvement in PFS over  
7 inactive placebo. In the overall patient  
8 population, the median PFS was 4.9 months versus  
9 1.9 months. There was no difference in OS. The  
10 main differences between the studies included the  
11 patient populations in the comparator. The  
12 everolimus trial was mainly third line, and the  
13 axitinib trial was only second line. Everolimus  
14 was compared to an inactive placebo comparator  
15 whereas axitinib was compared to an active  
16 comparator, sorafenib. In conclusion, both studies  
17 showed benefit for axitinib and for everolimus, but  
18 the patient populations are distinctly different.

19 One of the most attractive aspects of  
20 axitinib is its favorable safety profile when given  
21 as outpatient chronic therapy. The most common AEs  
22 for axitinib were expected for this class of drugs,

1 targeting the VEGF pathway, include diarrhea,  
2 hypertension and fatigue.

3           However, axitinib has differences in the  
4 incidence of some important toxicities compared to  
5 the approved VEGFR TKIs. Hypertension was a  
6 predominant adverse event seen with axitinib which  
7 we hypothesize is related to its properties as a  
8 potent VEGF pathway targeting agent. On the other  
9 hand, other toxicities problematic for other TKIs,  
10 such as hand-foot syndrome and rash, occurred less  
11 frequently with axitinib.

12           Axitinib has less hand-foot skin reactions  
13 compared to several of the other tyrosine kinase  
14 inhibitors. In the AXIS trial, more than  
15 50 percent of patients developed hand-foot syndrome  
16 with sorafenib compared to only 27 percent with  
17 axitinib. The incidence of grade 3 hand-foot  
18 syndrome with sorafenib in this study was  
19 16 percent which was triple that of axitinib.

20           A notable feature of axitinib is its lack of  
21 myelosuppression, which is strikingly less than  
22 that seen with sunitinib, a TKI which is commonly

1 used in standard practice.

2           Liver function test abnormalities have been  
3 observed for pazopanib and sunitinib which has  
4 resulted in boxed warnings on their labels.  
5 Axitinib results demonstrate a very low incidence  
6 of severe liver function test abnormalities.

7           The current NCCN recommended treatment  
8 paradigm for patients who have received prior  
9 treatment for advanced renal cell cancer are shown  
10 on this slide. In my opinion, axitinib has  
11 Category 1 evidence, the highest, for its  
12 effectiveness following previous treatment with a  
13 cytokine or a TKI and provides a valid treatment  
14 option for second-line therapy.

15           In summary, axitinib has superior efficacy  
16 compared to sorafenib, a widely used approved TKI.  
17 Axitinib has advantages with a lower incidence of  
18 some important toxicities compared to approved  
19 VEGFR TKIs. The benefit-risk evaluation is  
20 favorable for axitinib treatment in patients with  
21 advanced RCC after failure of a first-line systemic  
22 therapy.

1           Thank you for your attention, and I would  
2 now like to invite Dr. Mace Rothenberg from Pfizer  
3 oncology back to the podium.

4           **Sponsor Presentation - Mace Rothenberg**

5           DR. ROTHENBERG: Thank you, Dr. Motzer.

6           By way of reintroduction, I'm Mace  
7 Rothenberg from Pfizer oncology. The data  
8 presented today provides strong support for the  
9 safety and efficacy of axitinib in the treatment of  
10 patients with advanced RCC. The pivotal study met  
11 its primary endpoint by demonstrating a 33 percent  
12 reduction in the risk of progression for patients  
13 treated with axitinib compared to those treated  
14 with sorafenib. This progression-free survival  
15 benefit is consistent across patients groups.

16           This was a robustly designed phase 3 trial  
17 incorporating an active control arm, representing a  
18 higher hurdle for demonstrating efficacy than  
19 previous phase 3 trials where a new agent was  
20 compared to placebo or to interferon alpha.

21           Axitinib succeeded in this rigorous test,  
22 conferring a statistically and clinically

1 meaningful improvement over sorafenib in PFS and a  
2 twofold improvement in objective response rate.  
3 These benefits were observed across patient  
4 subgroups, including patients treated in the United  
5 States. The superior efficacy of axitinib was  
6 achieved without increased risk for serious adverse  
7 events, grade 3 adverse events, or discontinuation  
8 due to adverse events.

9 Taken together, these data establish a  
10 favorable benefit-risk profile for axitinib. We  
11 believe that axitinib represents an important  
12 treatment advance and should be made available for  
13 patients with advanced renal cell carcinoma. Thank  
14 you.

15 DR. WILSON: Thank you very much.

16 We'll now proceed with the FDA presentation.

17 **FDA Presentation - Amy McKee**

18 DR. MCKEE: Good morning, ladies and  
19 gentlemen, members of the committee. My name is  
20 Amy McKee, and I'll present the FDA analysis of  
21 this application for regular approval.

22 Briefly, this is the core FDA review team

1 for this product from the Office of Oncology and  
2 Hematology Products. NDA 202324 was submitted to  
3 FDA with the proposed indication, quote, "Inlyta is  
4 a kinase inhibitor indicated for the treatment of  
5 patients with advanced renal cell carcinoma."

6 A brief outline of my presentation is as  
7 follows. I will discuss background both for  
8 advanced renal cell carcinoma as well as the key  
9 regulatory milestones for this particular product.  
10 I will present FDA's analysis of the key  
11 registration trial AXIS, and I then will discuss  
12 the primary findings with this application.

13 Our primary findings with this application,  
14 which will be discussed later in greater detail,  
15 are as follows. The PFS benefit demonstrated in  
16 the AXIS trial was driven by a subset of patients.  
17 Second, the PFS difference in this trial was two  
18 months, and there was no difference in overall  
19 survival compared to sorafenib. Bear in mind, that  
20 this is a difference between an experimental arm  
21 and an active comparator arm, not a placebo or  
22 interferon as for all other drugs approved in

1 advanced RCC.

2 All of the products approved since 2005,  
3 including all of the VEGF-pathway-targeted agents  
4 and mTOR-targeted agents, are approved for the  
5 broad indication of advanced RCC with the exception  
6 of everolimus, which is specifically labeled for  
7 use after progression on either sorafenib or  
8 sunitinib. However, what order to use these agents  
9 for either first-line or second-line therapy in  
10 advanced RCC is not known and has not been studied  
11 in a randomized trial.

12 This table outlines the products that have  
13 been approved for advanced RCC since 2005. When  
14 the first of these products, sorafenib and  
15 sunitinib, were approved, there were few palatable  
16 treatment options for patients with renal cell  
17 carcinoma. Hence, the agency gave full approval  
18 for the broad indication of advanced RCC.

19 Although many of these trials were powered  
20 to show a difference in a secondary endpoint of  
21 overall survival, crossover occurred in a majority  
22 of patients at the time that a PFS benefit was



1 demonstrated. Thus, full approval was granted for  
2 many of these based on demonstration of a PFS  
3 benefit in the absence of an OS benefit.

4           If you examine the randomized controlled  
5 trial column, all of the products with the broad  
6 indication were studied in treatment naive patients  
7 with the exception of the sorafenib and pazopanib.  
8 Sorafenib patients could have received one prior  
9 therapy, and the population included patients who  
10 had received cytokines and chemotherapy but not  
11 prior VEGF receptor inhibitors.

12           Pazopanib was studied in a mixed population  
13 of treatment naive patients and patients who had  
14 received one prior cytokine regimen. Sorafenib,  
15 everolimus and pazopanib all had placebo-controlled  
16 arms whereas sunitinib, temsirolimus and  
17 bevacizumab all had interferon alpha control arms.

18           Next, the endpoint column shows that only  
19 temsirolimus has demonstrated a survival advantage.  
20 Many of the trials were powered to examine overall  
21 survival as a secondary endpoint. However,  
22 crossover from placebo arm in the sorafenib,

1 sunitinib and pazopanib trials may have confounded  
2 overall survival results. Finally, the key  
3 findings of the trials for median PFS or OS and  
4 hazard ratios are listed in the final column.

5 Moving now to drug development for axitinib,  
6 the IND was activated in 2001. At an end to  
7 phase 2 meeting in 2007, the sponsor proposed a  
8 phase 3 trial in second-line advanced RCC with  
9 sorafenib as a comparator arm and a primary  
10 endpoint of PFS as assessed by an independent  
11 review committee. The sponsor also indicated at  
12 that time that they would seek a second-line  
13 indication for this product based upon such a  
14 trial. An SPA agreement was reached in 2008 on a  
15 protocol that became the basis for the AXIS trial.

16 I will now briefly discuss the key points of  
17 the design of the AXIS trial. This schema  
18 summarizes the trial design. Patients must have  
19 received a single prior systemic therapy, and this  
20 therapy must have been one of the following:  
21 sunitinib, temsirolimus, bevacizumab or a cytokine.  
22 Six hundred and fifty patients were randomized one

1 to one to axitinib or sorafenib, stratified by ECOG  
2 status and prior therapy. Patients were treated  
3 until disease progression, death, or unacceptable  
4 toxicity. No crossover was permitted from the  
5 sorafenib arm to the axitinib arm upon progression.

6 Disease evaluations were performed every six  
7 weeks for the first two evaluations and every eight  
8 weeks thereafter. The primary endpoint was PFS as  
9 assessed by an independent review committee blinded  
10 to treatment arm, and patients also would be  
11 followed for survival.

12 I have summarized the approval of sorafenib  
13 for treatment of advanced RCC on this slide. It  
14 was the first of the targeted therapies to receive  
15 full approval for this indication. The randomized  
16 trial in which this full approval was based was a  
17 placebo-controlled trial in patients who had  
18 received one prior systemic therapy. For  
19 83 percent of the patients enrolled, this prior  
20 therapy was a cytokine regimen. For the remaining  
21 17 percent, this included a mélange of  
22 chemotherapies and hormonal agents. The median PFS

1 was 5.5 months in the sorafenib arm versus  
2 2.8 months in the placebo arm with a hazard ratio  
3 of 0.44.

4 There was a 2.1 percent objective response  
5 rate in the sorafenib arm compared to zero in the  
6 placebo arm. The trial was terminated early based  
7 on these PFS results, and the majority of placebo  
8 patients crossed over to sorafenib, which  
9 complicated the analysis of overall survival.

10 Sorafenib was selected by the applicant for  
11 the control arm in the AXIS trial and was agreed to  
12 by the FDA. However, the benefit of sorafenib  
13 after sunitinib in RCC is unknown. There are no  
14 randomized controlled trials examining the sequence  
15 of targeted therapies in advanced RCC. A number of  
16 retrospective trials suggest that the sequence may  
17 be important, but this has yet to be tested in a  
18 perspective trial. With the superiority trial  
19 design for AXIS, axitinib had to improve upon any  
20 benefit demonstrated by sorafenib in this trial.

21 The key inclusion criteria included  
22 confirmed metastatic RCC, measurable disease,

1 progressive disease per RECIST criteria after one  
2 prior systemic therapy, ECOG performance status of  
3 zero or one, and no evidence of uncontrolled  
4 hypertension.

5 The primary endpoint was PFS defined by the  
6 time from randomization to first documentation of  
7 objective tumor progression or death due to any  
8 cause. The trial had 90 percent power to detect a  
9 40 percent improvement in median PFS from five  
10 months in the sorafenib arm to seven months in the  
11 axitinib arm in the intent-to-treat population.

12 Key secondary endpoints included overall  
13 survival, objective response rate, duration of  
14 response and safety evaluations. For overall  
15 survival, the trial had 80 percent power to detect  
16 a 32 percent improvement in median overall survival  
17 from 18 months to 23.7 months at the final  
18 analysis. An interim analysis of OS at  
19 approximately 50 percent events was to be performed  
20 at the time of the final PFS analysis.

21 The independent review committee would  
22 adjudicate all PFS results for the primary efficacy

1 analysis. The IRC consisted of two radiologists  
2 blinded to treatment arm. If there was  
3 disagreement between the two, a third radiologist  
4 would adjudicate the response.

5 I will now highlight some of the key patient  
6 baseline characteristics from the AXIS trial. As  
7 can be seen in this table, patient demographics in  
8 terms of age, sex, ECOG performance status, race  
9 and MSKCC risk group were well matched between  
10 arms. Approximately a quarter of patients were  
11 enrolled in North America, half in Europe,  
12 20 percent in Asia, and the small remaining number  
13 in other regions.

14 In terms of prior treatment, slightly over  
15 half the patients had received sunitinib as first-  
16 line therapy, a third had received a cytokine, and  
17 smaller numbers had received either bevacizumab or  
18 temsirolimus.

19 Moving to the efficacy results, for the  
20 primary endpoint of PFS as analyzed by FDA, there  
21 were a total of 192 events on the axitinib arm and  
22 210 events on the sorafenib arm. Median PFS in the

1 axitinib arm was 6.7 months and 4.7 months on the  
2 sorafenib arm with a hazard ratio of 0.67. The  
3 Kaplan-Meier curve for PFS is shown on this slide  
4 with axitinib in blue and sorafenib in red.

5 The applicant provided results from the  
6 interim analysis of overall survival that was  
7 conducted at the time of the final PFS analysis.  
8 There was no crossover permitted from sorafenib to  
9 axitinib. This represented approximately  
10 53 percent of the events needed for the final  
11 overall survival analysis.

12 As can be seen here, there were 113 deaths  
13 on the axitinib arm and 110 deaths on the sorafenib  
14 arm. The hazard ratio was 1.009, indicating no  
15 benefit for axitinib over sorafenib in terms of  
16 overall survival. The Kaplan-Meier curve shows  
17 that for overall survival, the axitinib and  
18 sorafenib arms are superimposable and cross several  
19 times.

20 In terms of safety results, axitinib is a  
21 small molecule inhibitor of the VEGF receptor. The  
22 side effect profile for this class of drugs is

1 fairly well established, and for the most part,  
2 axitinib adheres to this profile. For this reason,  
3 I will not go into great detail on FDA's review of  
4 safety and will highlight a few of the adverse  
5 events particular to axitinib.

6 The common adverse events for axitinib are  
7 noted here as are the serious adverse events. Both  
8 of these lists are familiar to oncologists who  
9 treat patients with other VEGF pathway inhibitors.  
10 However, there are some differences in adverse  
11 event rates between the arms in the AXIS trial,  
12 which I will highlight in the next several slides.

13 On these slides, there were several  
14 categories of adverse events that had a higher  
15 incidence on the axitinib arm than the sorafenib  
16 arm. Gastrointestinal adverse events were  
17 uniformly higher on the axitinib arms. As shown  
18 here, the grade 3 to 4 rate for diarrhea and  
19 vomiting was higher on axitinib than sorafenib as  
20 well as the overall rate. The grade 3 to 4 rate of  
21 fatigue was more than tripled on the axitinib arm  
22 compared to the sorafenib arm, and asthenia grade 3



1 to 4 events were more than doubled.

2 Hypertensive adverse events, both grades 1  
3 through 4 and grades 3 to 4, were higher on  
4 axitinib. Additionally, there were two patients  
5 who experienced hypertensive crisis on the axitinib  
6 arm compared to zero on the sorafenib arm.  
7 Dysphonia was a frequent adverse event on the  
8 axitinib arm affecting nearly a third of the  
9 patients.

10 Finally, hypothyroidism was more than double  
11 on the axitinib arm compared with the sorafenib  
12 arm, keeping in mind that grade 2 hypothyroidism is  
13 defined as requiring thyroid hormone replacement.

14 Several adverse events had a higher  
15 incidence on the sorafenib arm compared to the  
16 axitinib arm. In particular, dermatologic adverse  
17 events generally were more frequent on the  
18 sorafenib arm. Grade 3, 4 palmar-plantar  
19 erythrodysesthesia tripled on the sorafenib arm  
20 compared to the axitinib arm. And grade 3 to 4  
21 rash also more than tripled on the sorafenib arm.  
22 The overall adverse event rate for pruritus,

1 alopecia and erythema also were higher on the  
2 sorafenib arm.

3 Finally, anemia was also more prevalent on  
4 the sorafenib arm.

5 To summarize, the two main findings for  
6 discussion with this NDA are as follows. First,  
7 the PFS results are driven by a subset of patients.  
8 And second, the PFS difference in this trial was  
9 two months, and there was no difference in overall  
10 survival compared to sorafenib.

11 The efficacy for results for PFS were driven  
12 by the subset of patients who were previously  
13 treated with a cytokine. Given the availability of  
14 numerous other agents with more attractive side  
15 effect profiles in the United States, this  
16 population of patients will be very small.

17 Sunitinib was available at the time this trial was  
18 conducted in the United States. In fact, when you  
19 look at what patients in North America and Europe  
20 enrolled in this trial received for prior therapy,  
21 you can see that more than two-thirds of the  
22 patients in North America had received sunitinib

1 compared to approximately half in Europe and the  
2 trial population as a whole. In contrast, only 20  
3 percent of patients in the U.S. had received  
4 cytokines as prior therapy compared to  
5 approximately a third in Europe in the population  
6 as a whole.

7 If you look at the PFS results in the subset  
8 of patients previously treated with a cytokine, a  
9 population that is unlikely to be prevalent in the  
10 U.S., the difference in median PFS between these  
11 arms is 5.6 months with a hazard ratio of 0.46.  
12 The Kaplan-Meier curve for this subpopulation is  
13 shown here.

14 In contrast, the difference in median PFS in  
15 patients previously treated with sunitinib, a  
16 population more reflective of the current U.S.  
17 population, is 1.4 months with a hazard ratio of  
18 0.74. The large difference you saw in the cytokine  
19 subgroup is no longer there.

20 Shown here is the Kaplan-Meier curve for  
21 this sunitinib subpopulation. This is the  
22 population that is more relevant to the U.S., as

1 patients will have received sunitinib or another  
2 targeted therapy rather than a cytokine. Also note  
3 that the difference in medians is 1.4 months. At  
4 this point in treatment in the AXIS trial, the  
5 assessments were occurring every two months. Thus,  
6 the median difference is less than the interval  
7 between assessments and may not be reliable.

8 This trend is carried over to the OS  
9 analysis as well. Shown in this table for patients  
10 previously treated with cytokines, the hazard ratio  
11 is 0.74, where for patients previously treated with  
12 sunitinib, the hazard ratio is 1.007.

13 The same trend also holds for response rate.  
14 The overall objective response rate in this trial  
15 was 19.4 percent on the axitinib arm and  
16 9.4 percent on the sorafenib arm. However, as you  
17 can see in this table, the number of patients with  
18 response on the axitinib arm who are in the  
19 cytokine subgroup is almost double that of the  
20 sunitinib subgroup despite the smaller number of  
21 patients overall in this cytokine subgroup. Thus,  
22 for PFS, OS, and response rate, efficacy is driven

1 in the axitinib arm by the subgroup previously  
2 treated with cytokines.

3 The second finding with this application is  
4 that there was a two-month difference in PFS and no  
5 difference in OS compared to sorafenib. The  
6 regulatory history for advanced RCC is that at the  
7 time of the sorafenib and sunitinib approvals,  
8 which were the first two drugs in the cascade of  
9 targeted therapies for RCC, there was little  
10 enthusiasm for the drugs available for treatment.

11 Sorafenib and sunitinib were given full  
12 approval based on demonstration of a PFS benefit,  
13 and subsequently approved agents received the same.  
14 However, there are numerous treatment options  
15 today, and we are not sure how to use even these  
16 agents in the first- and second-line settings. The  
17 only agent that has ever shown a survival advantage  
18 is temsirolimus, and this was demonstrated in a  
19 first-line setting versus interferon.

20 Axitinib did not show a survival advantage  
21 in the AXIS trial. No crossover was permitted.  
22 The sorafenib patients did not receive axitinib.

1 Subsequent therapies are summarized in this slide.  
2 Overall, a similar percentage of patients received  
3 subsequent therapy after discontinuing study  
4 treatment. We have no reason to believe that  
5 patients from the sorafenib arm would respond  
6 differently to these subsequent treatments than  
7 patients on the axitinib arm. Thus, this is not an  
8 explanation for why no OS benefit was seen.

9 In summary, axitinib was compared to an  
10 approved drug in a second-line setting. The  
11 magnitude of benefit in AXIS was two months for PFS  
12 for the overall population of patients who had  
13 received one prior therapy and 1.4 months for the  
14 prior sunitinib treatment population. Although the  
15 safety profile is not as daunting as for cytotoxic  
16 chemotherapy, axitinib is not without risks.

17 This application is being considered for  
18 regular approval. We have accepted PFS as evidence  
19 of clinical benefit for full approval in this  
20 disease setting in the past. We would like to  
21 remind the committee that unlike accelerated  
22 approval for which the drug must approve upon

1 existing therapy, for regular approval, the drug  
2 has to demonstrate safety and effectiveness.

3 The comparator therapy is an approved drug,  
4 though the magnitude of benefit for sorafenib after  
5 sunitinib has not been established. However, I  
6 will note that in the AXIS trial, sorafenib's  
7 median PFS is similar to that in the registration  
8 trial for sorafenib's approval.

9 We are asking the committee to consider the  
10 overall risk-benefit profile of axitinib in its  
11 recommendation.

12 **Clarifying Questions from the Committee**

13 DR. WILSON: Okay. Thank you.

14 We will now turn to questions from the  
15 committee to both the sponsor and the FDA, and let  
16 me open with the following question to the sponsor:  
17 We have heard that most patients in the U.S. will  
18 have had a prior TKI and that the efficacy in terms  
19 of progression-free survival is driven primarily by  
20 patients that have had prior cytokines.

21 In trying to assess the risk-benefit, one  
22 has to look at both efficacy or equivalency to

1 established therapy, plus the toxicity. My  
2 question to the sponsor is whether or not they have  
3 performed a subset analysis of toxicity, within the  
4 group that only received cytokines versus the group  
5 that had received prior TKIs, to determine whether  
6 or not the relative risk of the drug we are looking  
7 at is favorable among the subset of patients that  
8 have had prior TKIs, which will represent most  
9 patients in the U.S.

10 DR. ANDREWS: Glen Andrews, Pfizer. Yes, we  
11 have performed that analysis looking at axitinib's  
12 discontinuation rate relative to sorafenib in the  
13 prior sunitinib group, which I think was the intent  
14 of the question. We had a 10 percent  
15 discontinuation rate for axitinib in the prior  
16 sunitinib group compared to 16 percent in the  
17 sorafenib group, so the rate was slightly higher on  
18 sorafenib relative to axitinib.

19 In terms of grade 3 adverse events overall,  
20 it was 48 percent in both axitinib and sorafenib.  
21 In the treatment-related numbers, in the grade 3  
22 all causality, it was 69 percent versus 66 percent,



1 and then in all adverse events, 97 percent  
2 essentially in both arms.

3 I would just like to maybe make a point  
4 around the treatment effect being driven by the  
5 sunitinib refractory group, and if I could show the  
6 forest plot that was presented in the main  
7 presentation just briefly.

8 I just want to draw your attention to the  
9 top line here, which is the overall effect, the  
10 yellow highlighted numbers here. The hazard ratio  
11 there was .67, and you can see the larger dot  
12 showing the larger sample size there. And if you  
13 work down to the sunitinib refractory group and you  
14 look at the hazard ratio there, it's .74; and I  
15 think entirely consistent with the overall  
16 population, and would be expected since the  
17 majority of the patients, 55 percent in this study,  
18 have received prior sunitinib.

19 Now, individual medians -- and I understand  
20 the need to look at individual medians as slightly  
21 different from time to time, but I think if you  
22 look at the hazard ratio which compares the overall

1 curves, then the data is here.

2 I just want to check if Brian, Dr. Rini, or  
3 Dr. Motzer would like to make any comments on the  
4 relative toxicity of prior sunitinib patients  
5 versus prior cytokine patients.

6 DR. RINI: Brian Rini from Cleveland Clinic.  
7 As Dr. Andrews mentioned in the numbers, the drug  
8 appears to be similarly tolerated in those  
9 subgroups, and I would say that would be our  
10 clinical experience having treated a lot of  
11 patients sort of from both subgroups, both on this  
12 trial and in the phase 2 trials.

13 DR. WILSON: Okay. Thank you.

14 I now would like to recognize Dr. Logan.

15 DR. LOGAN: I just would like a  
16 clarification first. The FDA put up a slide of  
17 survival for the prior sunitinib refractory  
18 subgroup. Was that the old survival data, or is  
19 that the updated survival data?

20 DR. MCKEE: We have not received the  
21 datasets from the updated, so that is from the  
22 interim analysis of overall survival.

1 DR. LOGAN: So the follow-up to that would  
2 be can the company provide the survival data for  
3 the sunitinib refractory subgroup?

4 DR. ANDREWS: Yes. We just recently carried  
5 out that analysis. That hazard ratio for the prior  
6 sunitinib group was .97. Just to reemphasize  
7 again, the FDA have not had a chance to analyze  
8 these data yet.

9 DR. LOGAN: And what are the medians?

10 DR. ANDREWS: The median is 15.2 months with  
11 axitinib versus 16.5.

12 If I may show slide E-305, what you see here  
13 is essentially overlap between the two arms, and I  
14 don't think the conclusions have changed from the  
15 original overall survival analysis for that  
16 sunitinib refractory subgroup.

17 DR. LOGAN: Okay. And then I have one other  
18 question, it's been alluded a couple times that  
19 axitinib may have a potentially favorable toxicity  
20 profile, but we're seeing some differences in the  
21 types of toxicities. And it's unclear whether  
22 there's an overall advantage, I guess, here.

1           Has there been any assessment of patient-  
2           reported outcomes to better assess what the impact  
3           is on patients?

4           DR. ANDREWS: Yes, there has. Functional  
5           kidney symptom index scores were analyzed, and I'll  
6           invite Dr. David Cella to just describe those in a  
7           bit more detail.

8           DR. CELLA: Thank you. Good morning. My  
9           name is David Cella. I'm professor and chair of  
10          the department of medical social sciences at  
11          Northwestern University's Feinberg School of  
12          Medicine. I'm a paid consultant to Pfizer. I have  
13          received travel expenses to attend this meeting,  
14          but I have no personal financial interests based  
15          upon the outcome of the meeting.

16          The patient-reported outcome tool used was  
17          the FACT Kidney Symptom Index, which is 15  
18          questions. It includes one summary question from  
19          the patient's perspective as to the impact of side  
20          effects, that is, how bothered patients are by the  
21          accumulated set of side effects any given patient  
22          experiences.

1           In the early going, there was a numeric  
2 heightening of adverse impact on the sorafenib arm,  
3 but, overall, there were no differences over time  
4 between the sorafenib- and axitinib-containing  
5 arms. So on balance from the patient's  
6 perspective, the impact of toxicities were similar  
7 over time. And I'll add that this is from a  
8 dataset that is over 90 percent complete with  
9 regard to patients who are expected to provide  
10 those evaluations. So it's a very full dataset,  
11 suggesting that the patient perspective is quite  
12 comparable between axitinib and sorafenib.

13           DR. WILSON: Okay. Thank you.

14           Dr. Buzdar.

15           DR. BUZDAR: Yes, I have two questions. One  
16 is about the safety profile. According to the  
17 sponsor, it looks overall favorable. But looking  
18 at the rate of hypertension, it was like 40 percent  
19 versus 29 percent, and 40 percent being in the  
20 experimental arm. And I wanted to see, if they  
21 have information, what fraction of patients  
22 required therapeutic intervention to manage the

1       hypertension.

2               The second thing which I wanted to question  
3       is that much improvement in time to progression,  
4       that there is no hint of survival advantage. And  
5       when you look at one of their slides, 37, where it  
6       shows death during the treatment arm within 28 days  
7       from the last dose, like 10 percent was on the  
8       experimental arm, 7 percent in the sorafenib arm.

9               The question is whether maybe it is a  
10       liberal interpretation of the time to progression.

11              Those are the two comments.

12              DR. ANDREWS: So one question on  
13       hypertension and then a second question on overall  
14       survival, as I understood it. Briefly, if I may  
15       show from the main deck the overall incidence of  
16       hypertension, if I may show main deck slide 38,  
17       grade 3 is really an increase in dose, one  
18       additional medication, like CTC. You can see in  
19       the numbers there, 15 percent versus 11 percent  
20       limited grade 4 adverse events for either arm in  
21       this setting.

22              I think I would overall qualify the toxicity

1 as different. There are some differences between  
2 them that provide choices to the physician and the  
3 patient rather than necessarily saying one's much  
4 better than the other given the data we have.

5 I would maybe just like to ask -- I'll come  
6 to the survival in a minute, but I would just like  
7 to ask Dr. Rini if he'd like to just describe  
8 briefly hypertension and his view on that as an  
9 issue.

10 DR. RINI: Thank you, Brian Rini, Cleveland  
11 Clinic. So as noted, and as all of you know,  
12 hypertension is a class effect of these agents,  
13 and, in fact, we would expect more hypertension  
14 from a more biochemically potent drug. So I think  
15 it fits with the biochemical profile.

16 It's an early and predictable event, and so  
17 where this drug is coming in the course of kidney  
18 cancer is after years of this class of drugs. So  
19 we've become well versed in expecting hypertension  
20 and how to manage it. The protocol, as you can  
21 guess, a defined algorithm for dealing with  
22 hypertension. But our approach is sort of early

1 and aggressive management of hypertension  
2 throughout, and it really is quite easily managed  
3 for the vast majority of patients as evidenced  
4 that -- I think there's only one patient who  
5 discontinued treatment for hypertension.

6 DR. WILSON: Yes. FDA?

7 DR. MCKEE: This is just to address  
8 hypertension and also the subpopulations. This is  
9 from the sponsor's briefing document. I don't know  
10 if you have a slide on this. If you look at the  
11 prior sunitinib treatment groups, the incidence of  
12 hypertension is about 34 percent on the axitinib  
13 arm versus 18 percent on the sorafenib arm, where  
14 it's closer in the cytokine arm, 47 percent versus  
15 42 percent. So it looks like there may be a  
16 differential effect in the subgroups there for  
17 hypertension. That's all grades.

18 DR. ANDREWS: Yes, I was referring to  
19 grade 3.

20 Can we call up -- can you remind me which  
21 table that is, just so we can show it to the rest  
22 of the committee, the table?



1 DR. WILSON: Does FDA know what slide that  
2 was?

3 DR. MCKEE: It's from your briefing  
4 document, Table 23.

5 DR. ANDREWS: May we show the sponsor's  
6 Table 23?

7 Finally, while it's coming up, one other  
8 point I'd make -- it's probably going to be hard  
9 for you to read.

10 [Laughter.]

11 DR. ANDREWS: But one other point I would  
12 make is the open nature of the study probably leads  
13 to different reporting of adverse events in this  
14 setting, and we can show you data on the blood  
15 pressure increases, which is a more objective  
16 measure and show some of that data as well.

17 So, yes, I would agree. I need to wear my  
18 reading glasses as well; 34 percent versus 18  
19 percent for axitinib; 21 percent versus 11 percent.  
20 I guess, overall, 16 percent versus 9 percent  
21 difference in terms of the sunitinib refractory and  
22 the cytokine refractory in the overall grades.

1           Now, you asked a question about overall  
2 survival, and, specifically, you talked about the  
3 deaths in our main deck presentation.

4           If I could have that data briefly.  
5 Essentially, what we saw was a difference in deaths  
6 due to disease progression. I remind this was on  
7 treatment, or 28 days. And in terms of on  
8 treatment, axitinib patients were followed up for  
9 about a month and a half longer on average relative  
10 to the sorafenib arm. And all things being equal,  
11 these deaths were driven by disease progression,  
12 what you would expect in a RCC study, some deaths  
13 due to disease progression. And that 1.4 months,  
14 if you follow the Kaplan-Meier curves, would have  
15 led to about an additional 12 deaths, which is  
16 essentially the difference here, 26 on the axitinib  
17 arm versus 17 on the sorafenib arm.

18           DR. WILSON: Okay. Thank you.

19           Dr. Sekeres.

20           DR. SEKERES: Thank you, Dr. Wilson.

21           One of the challenges we have in  
22 deliberating about specifically an international

1 study is trying to determine whether the standard  
2 of practice ex-U.S. is the same as it is within the  
3 U.S., and that's why we're really in particular  
4 drilling down to the patients previously treated  
5 with sunitinib. I pulled up the NCCN guidelines,  
6 the Version 1.2012, and it says here, for first-  
7 line therapy, sunitinib is a Category 1.

8 Do cytokines have a category within the NCCN  
9 for first-line therapy?

10 DR. ANDREWS: Yes. I'd like to invite  
11 Dr. Bob Motzer to comment.

12 DR. MOTZER: The mainstay of treatment for  
13 many years was cytokines, and these have been  
14 largely replaced by the targeted drugs based on the  
15 phase 3 trials. High-dose interleukin 2 is a  
16 cytokine that was approved in 1992 for use in the  
17 United States and remains a viable option for  
18 patients with advanced renal cell carcinoma. It  
19 wasn't studied in a phase 3 trial, but it was  
20 approved largely because of durable remissions seen  
21 with the high-dose interleukin 2.

22 So for the most part, it is heterogeneous.

1 In the United States, it's heterogeneous. Some  
2 patients treated with sunitinib, some patients do  
3 get high-dose IL2, temsirolimus, bevacizumab plus  
4 interferon. It's clearly not just a sunitinib as  
5 the sole treatment in the United States. It's  
6 heterogeneous, and I think it's going to continue  
7 to change. Pazopanib used somewhat in first line  
8 as well.

9 DR. SEKERES: So for two-thirds of the  
10 patients on this study in the U.S., though, was the  
11 actual first-line treatment. So let me ask a more  
12 personal question of you or Dr. Rini. When is the  
13 last time you used cytokines as first-line therapy  
14 for your renal cell patients?

15 DR. MOTZER: Well, I think that in terms of  
16 high-dose interleukin 2, it's largely given at  
17 centers by people who give a lot of high-dose IL2.  
18 It's not used widespread, given in the community.

19 So I don't give interleukin 2 currently at  
20 Memorial Hospital. I did in the past, but I  
21 stopped because of the clinical trials that we were  
22 prioritizing. But when patients come to me in

1 terms of first-line therapy for RCC, if they're  
2 young patients, relatively young, or they lack  
3 comorbid conditions, then I talk to them about IL2.  
4 And if they're interested in that approach, they're  
5 referred to a center that gives high-dose IL2.

6 I'd also say that my practice is biased away  
7 from IL2 because I don't offer it at Memorial  
8 Hospital. If you were to talk to Jan Dutcher from  
9 New York or Michael Atkins, McDermott from Boston,  
10 or any number of other people in the United States,  
11 they would tell you that a lot higher proportion of  
12 people get cytokine therapy because those patients  
13 are generally referred to them.

14 DR. SEKERES: Okay. So it's a little  
15 complicated to think about a drug that's going to  
16 be used throughout the U.S. and the fact that there  
17 are only limited centers where cytokines are  
18 actually given, which would be the population, if  
19 we believe subgroup analyses, who really seem to  
20 benefit in terms of progression-free survival to  
21 this drug compared to sorafenib.

22 I don't think we give it at Cleveland

1 Clinic. Is that right, Brian?

2 DR. RINI: Brian Rini, Cleveland Clinic. So  
3 I would echo everything that Bob said. We commonly  
4 refer people for high-dose IL2. I refer several  
5 people a month. We don't give it, not because I  
6 don't think there's benefit, but just because we do  
7 other things. We can't do everything, and that's a  
8 very intense therapy. So I think there is a  
9 substantial percentage of patients who get  
10 cytokines, probably mostly high-dose IL2 in the  
11 U.S. Again, in the U.S. on this trial, it was 20  
12 or 25 percent, and so for a modern trial, that's a  
13 reasonable percentage of patients who got  
14 cytokines. That's the actual data.

15 I would also say interestingly -- and that's  
16 why we analyzed the U.S. population, that despite  
17 the majority of the U.S. getting sunitinib,  
18 obviously again reflecting current treatment  
19 choices, there was still as much or more benefit to  
20 axitinib in that setting.

21 So, to me, that's the real test, is looking  
22 at the patients in the U.S. who actually got both

1 drugs in a randomized setting and seeing the  
2 benefit.

3 DR. SEKERES: So my second question is  
4 actually more for David Cella. There didn't appear  
5 to be a quality of life disadvantage to getting  
6 either drug. Was there a quality of life advantage  
7 to either drug?

8 DR. ANDREWS: Yes, can I invite David to  
9 come to the podium?

10 I would say about 20 -- just to the last  
11 point, about 20 percent of the patients in the U.S.  
12 received IL2 in our study. Those were the numbers  
13 for the U.S. population.

14 DR. SEKERES: Right. I guess I would  
15 counter that if you're talking about a major  
16 benefit in one out of five patients, we have to put  
17 that into our calculus as well.

18 DR. ANDREWS: Yes, I mentioned it because of  
19 the U.S. population overall and the effect there.

20 DR. CELLA: David Cella from Northwestern.  
21 Could I ask for slide SE-127?

22 This is the Figure 11 in your briefing

1 document. It has added a dotted line that  
2 represents where the U.S. general population would  
3 fall on this particular set of questions. These  
4 are the nine disease-related symptoms from the FACT  
5 Kidney Symptom Index that I mentioned earlier.

6           There was -- when you look at the treated  
7 patients, that is, the patients remaining on  
8 therapy, keeping in mind -- and this I think is the  
9 crux of the benefit question -- that there are  
10 10 percent more over time in cycles across the  
11 first year to year and a half, patients on axitinib  
12 than on sorafenib. So axitinib keeps patients on  
13 therapy for a longer period of time overall to  
14 progression.

15           There is actually a fairly straight line of  
16 scores on this particular set of symptoms, if  
17 anything, a slight improvement in this group that  
18 stays on therapy over time. At the lower right of  
19 the plot is the average score of people at the end  
20 of treatment, indicating that when treatment ends,  
21 there is a lower symptom score, a worse symptom  
22 score.



1           So the message I think is it's good to be on  
2 therapy. Patients seem to have a fairly stable  
3 symptom reporting on therapy, and there were no  
4 differences between axitinib and sorafenib in that  
5 comparison. And their scores are about the same as  
6 the U.S. general population.

7           DR. SEKERES: So being treated by either  
8 drug appears to give you at least a comparable  
9 quality of life to the U.S. general population, but  
10 it doesn't appear to be an advantage to one drug  
11 versus the other?

12          DR. CELLA: That's right. In the patient-  
13 reported outcomes, they are comparable.

14          DR. SEKERES: Okay. Thank you.

15          DR. WILSON: Thank you.

16          Dr. Garnick.

17          DR. GARNICK: Thank you.

18           I have several clarifying points that I need  
19 in terms of the patient demographics. Some can be  
20 simple yes or no.

21           How were brain metastases patients handled,  
22 and were they prospectively looked for? And were

1 the thyroid function evaluations prospectively  
2 looked at or were they patient reported, is my  
3 first two.

4 DR. ANDREWS: I had brain metastases. I'm  
5 sorry. I missed the second.

6 DR. GARNICK: Were patients screened for  
7 brain metastases prior to entry into the study?

8 DR. ANDREWS: Yes, they were, and they were  
9 excluded.

10 DR. GARNICK: Okay. And do you have any  
11 experience in the thyroid function abnormalities?

12 DR. ANDREWS: Okay. That was the second  
13 question. Sorry.

14 DR. GARNICK: Yes.

15 DR. ANDREWS: So you're asking specifically  
16 about how many patients had thyroid abnormalities  
17 at baseline?

18 DR. GARNICK: No. My question is, was a  
19 case report from evaluation prospectively looked at  
20 or clinical symptomatology during the course of the  
21 study?

22 DR. ANDREWS: Symptomatology. We did

1       measure TSHs during the study, but at baseline,  
2       there was nothing really.

3               DR. GARNICK:   So the 19 percent of patients  
4       with hypothyroidism were clinically picked up  
5       during the evaluations as opposed to prospectively  
6       evaluated?

7               DR. ANDREWS:   I think it was prospectively  
8       evaluated.   Sorry, let me correct myself for the  
9       record.   We had TSH measurements during the study,  
10       and it was picked up by there, and then the  
11       majority were reported as adverse events as well.

12              DR. GARNICK:   Okay.   And the other question  
13       is, was there a central pathology review?   You said  
14       that you just needed some clear cell components.  
15       Was there any attempt at looking at mixed tumors  
16       with papillary, and, if so, what percentage of the  
17       tumor had to contain clear cell components to be  
18       eligible for entry?

19              DR. ANDREWS:   There was no central pathology  
20       review.   There was the pathology lab at each  
21       center, and a diagnosis of the majority being clear  
22       cell.

1 DR. GARNICK: So any clear cell component  
2 allowed the patient to get in as opposed to a  
3 minority of the lesion being clear cell. Did you  
4 capture that information or not?

5 DR. ANDREWS: We captured the information  
6 that the majority were clear cell. There  
7 were -- we didn't capture the mix, no.

8 DR. GARNICK: And my last clarifying point  
9 is, to the extent possible, did you have any data  
10 or any way of looking at the response rate or the  
11 time to progressive disease on first-line therapy  
12 and whether there were any differences in the  
13 randomization between the axitinib and the  
14 sorafenib?

15 What was the biology of the patient  
16 population that went into each of the randomized  
17 arms, and were there any perceived differences?  
18 Because the biological behavior of this disease is  
19 so heterogeneous that we're looking -- I'm looking  
20 for potential differences in the subset of patients  
21 that ended up getting randomized.

22 DR. ANDREWS: Yes. I don't think this

1       answers your question, but I'm going to show this  
2       slide briefly.  It's one of the analysis that we  
3       did that I think helps put some context around it.

4               We looked specifically whether response to  
5       prior sunitinib, whether you responded or not to  
6       prior sunitinib, drove any efficacy on axitinib or  
7       sorafenib.  And specifically, there, if I can show  
8       E-63 -- this is the Kaplan-Meier curve for axitinib  
9       categorized by nonresponders versus responders.  
10       Essentially, the efficacy for axitinib, you can see  
11       the two curves overlapping to all intents and  
12       purposes.

13               We did another analysis that looked at less  
14       than three months or greater than three months, and  
15       we saw the similar results.  The Kaplan-Meier  
16       curves intertwined.

17               DR. GARNICK:  So there was no difference in  
18       either response rate or time to progressive disease  
19       with the first-line therapies?

20               DR. ANDREWS:  No, not for sunitinib.  And  
21       then what I'm showing you now isn't that answer,  
22       but, no, there wasn't for that, either.

1 DR. GARNICK: And for the cytokine-treated  
2 patients?

3 DR. ANDREWS: I don't know I've seen that  
4 data. Can I see if I can get it and come to that a  
5 bit later?

6 DR. GARNICK: Sure.

7 The last question I have is, I was struck by  
8 the incidence of venous thromboembolic phenomenon,  
9 where it's like five times more common in the  
10 axitinib arm compared to the sorafenib arm.

11 Did you do anything to try to understand  
12 what the pathophysiology of that was in the patient  
13 populations treated?

14 DR. ANDREWS: Can I ask Dr. Sinil Kim to  
15 specifically come and talk about the VTs? We had  
16 10 versus 2, and I think those are the numbers  
17 you're talking about. I would say the one grade 5  
18 event occurred post-treatment when the patient was  
19 on everolimus, but it was in that 28-day period, so  
20 we reported it.

21 DR. KIM: Sinil Kim, Pfizer oncology. If I  
22 may show the slide that I showed previously, slide

1 45, I believe that this is what you are referring  
2 to.

3           There were a higher number of VTE  
4 numerically on axitinib versus sorafenib. And we  
5 don't -- and this is a common unknown adverse event  
6 seen with drugs of this class. But I'd like to  
7 also mention that on the other side of thrombotic  
8 event is the hemorrhage, and for axitinib, the  
9 hemorrhagic events, severe hemorrhagic events,  
10 seems to be numerically less compared to sorafenib.

11           DR. GARNICK: No, I understand that. I'm  
12 actually referring to 5.7.4 in the venous  
13 thromboembolic events on page 89 of your briefing  
14 document. Basically, you've got seven patients  
15 with pulmonary emboli; deep vein thrombosis, two  
16 patients; jugular venal thrombosis; retinal vein  
17 occlusion; retinal vein thrombosis; subclavian vein  
18 thrombosis; venous thrombosis; grade 3 -- they were  
19 grade 3 or grade 4.

20           My question is, did you look into was there  
21 any underlying predispositions in this patient  
22 population that could potentially identify them as

1 being at higher risk for developing VTE?

2 DR. KIM: I see.

3 DR. GARNICK: Had they had a previous  
4 history of VTE? Had they had a previous history of  
5 phlebitis? Have they been on anticoagulation  
6 therapy in the past? Some sort of clinical insight  
7 that a clinical person could identify saying this  
8 patient may be at higher risk?

9 DR. KIM: Yes, I understand. We looked at  
10 each one of these patients, and most of these  
11 patients came in as a narrative from the  
12 investigator, and we also discussed some of these  
13 patients with the investigator.

14 We tried to look for any predisposing  
15 factors, and the only thing that we could find is  
16 that these patients had cancer, which is a  
17 hypercoagulable condition. And we couldn't find  
18 anything definitive that we can identify ahead of  
19 time.

20 DR. GARNICK: Just to go back to the thyroid  
21 issue, so the 19 percent were patients that were  
22 either picked up by TSH testing or actual clinical



1       symptomatology of hyperthyroidism. I'm unclear of  
2       where that 19 percent number comes from.

3               DR. ANDREWS: It's a combination of both. I  
4       think the majority came from the TSH numbers, which  
5       we can show you as well. But I would say, again,  
6       all of it was grade 1 or grade 2, low grade, and  
7       most of it didn't come as symptoms, I don't  
8       believe, but by the TSH pickup and then reported as  
9       an adverse event.

10              DR. WILSON: Thank you, Dr. Garnick.

11              Dr. Vose.

12              DR. VOSE: It wasn't clear to me how quality  
13       of life was measured, and I'd like to know what  
14       kind of questions were asked and how they were  
15       administered, and what were the types of responses  
16       that you got.

17              DR. ANDREWS: Again, can I ask Dr. David  
18       Cella to come to the podium to answer that?

19              DR. CELLA: David Cella, Northwestern  
20       University. Could you bring up slide SE-26,  
21       please?

22              These symptoms that were asked about, or the

1 questions that were asked, were these 15 questions  
2 illustrated on the left. They range from fatigue  
3 to dyspnea, cough, pain, blood in the urine, fever,  
4 and then some more general questions about  
5 appetite, side effect, bother, and ability to work  
6 and enjoy life and sleep. So it's a range of the  
7 15 most important things to kidney cancer patients  
8 who are receiving therapy for advanced disease.  
9 That's how the questionnaire was constructed.  
10 On the right is a subset of those questions that  
11 relate to what are predominantly disease-related  
12 symptoms, and we targeted in the questioning.

13 Earlier, if you could switch to slide SE-87  
14 to look at, an example of one of these, because we  
15 had talked about the sort of bottom line to the  
16 patient with regard to the safety side. We do ask  
17 the patient in one of these 15 questions how  
18 bothered they are by side effects from their  
19 therapy. And I mentioned that there is a little  
20 bit of an increase in sorafenib both relative to  
21 axitinib in the beginning, but over time, they're  
22 really quite comparable with the lines really

1       superimposable and crossing over from time to time.  
2       And you can see the level of bother to the  
3       patient's perspective while they're on treatment is  
4       in the sort of little bit to somewhat range because  
5       it's being managed in the context of treatment and  
6       supportive care.

7                 DR. VOSE: Was this a written  
8       questionnaire, and how was your response rate?

9                 DR. CELLA: It is a written questionnaire.  
10       Patients complete it. It's on one page. There are  
11       five options for each question that are shown there  
12       on the left of that slide. The response rate for  
13       expected evaluations, which are expected all the  
14       way through, every four weeks, through the course  
15       from baseline through to end of treatment and then  
16       a 28-day follow-up, the response rate was over  
17       90 percent, which in oncology trials is a very high  
18       bar, and I'm always happy when we achieve it. So  
19       it was over 90 percent across the period of time  
20       that patients were on treatment.

21                 DR. WILSON: Okay. Thank you very much.

22                 Dr. Fojo.

1 DR. FOJO: I needed a clarification, and I  
2 had a couple of comments and questions. In the  
3 patient demographics, you show 32 and 33.1 percent  
4 of patients that have MSKCC poor, and what the FDA  
5 showed us this morning is 1.1 and 1.1 percent.

6 Which is correct?

7 DR. ANDREWS: Yes. Our original submission  
8 used the first line 1999 criteria developed for  
9 treatment naïve patients, and that's the numbers  
10 reported by the FDA. We realized that the more  
11 appropriate criteria are for second line, and those  
12 are the criteria we used. One of the issues with  
13 those criteria is they both require a Karnofsky  
14 score, and we didn't collect that in our study.  
15 And we mapped ECOG to Karnofsky.

16 Another way of looking at this -- well, let  
17 me ask Dr. Motzer if you'd like to make any  
18 comments around the MSKCC.

19 DR. MOTZER: Can you show slide C-7, please?

20 So there was an original model that was  
21 created for MSKCC risk, and in that model, there  
22 was a mixed population of patients. And about

1 20 percent of patients had received prior therapy,  
2 the other treatment were treatment naïve.

3           There's a model -- it was refined,  
4 basically, and there was a model that was set up  
5 and reported in 2004 specifically in second-line  
6 treatment. And so we felt that that was really the  
7 most appropriate model for this patient population,  
8 and so we updated that from the original ASCO  
9 presentation to reflect the model that's most  
10 applicable in previously treated patients, and  
11 that's the one that's shown on the right.

12           So by that model, which was the similar  
13 model that we used with the RECORD-1 trial for  
14 RAD001, there are three different factors, and it  
15 applies more to previously treated patients.

16           DR. FOJO: So then the FDA numbers are maybe  
17 more accurate for this patient population is what  
18 you're saying?

19           DR. MOTZER: The numbers that we presented  
20 today with approximately 30, 30, 30 are the most  
21 appropriate numbers by what we felt was the most  
22 appropriate criteria.

1 DR. FOJO: So then as one looks at  
2 this -- actually, on a light note, if you will, I  
3 think Pfizer probably doesn't have to worry even if  
4 this drug isn't approved because Bayer is probably  
5 going to hire Pfizer to run their clinical trials  
6 because sorafenib did so well in this study.

7 But it actually did well, in my opinion, in  
8 the, quote, "cytokine refractory patients" and not  
9 so well in the sunitinib refractory patients. And  
10 when you look at that population, you have a PFS of  
11 3.4 months, and if we look at the placebo data,  
12 such as it is, that's available in this population,  
13 the values that come for that are 1.9, 2.8 and  
14 4.2 months for the placebo group, and this 3.4 is  
15 landing right in the middle of that.

16 You could say that well, maybe this is a  
17 little more advanced situation, which I don't think  
18 it is. I actually would say that, quite possibly,  
19 the patients that are enrolled in this were two  
20 years out from their original diagnosis and  
21 nephrectomy and more than a year out from their  
22 metastatic disease. So I think we're beginning to

1 select the patient population that probably has the  
2 biology you were just alluding to a little bit ago,  
3 and, in fact, it's probably a little more indolent.  
4 And actually, to get to 3.4 months, all you have to  
5 do is not have progressed at the first assessment,  
6 basically, and that gets you to 3 months right  
7 there.

8           So what I would argue is that in the  
9 sunitinib, quote, "refractory population," that you  
10 have used as a comparator a drug that is actually  
11 not active, and that sorafenib is really not active  
12 in this patient population, or at best marginally,  
13 marginally active.

14           But you did really well in the, quote,  
15 "cytokine refractory," which I think should be  
16 cytokine refractory, slash, intolerant since we  
17 know a lot of patients never complete that. And  
18 there, clearly, axitinib has outperformed  
19 sorafenib. And I would say there that, in fact, it  
20 was not only an active comparator but a very good  
21 active comparator.

22           So with regards to that, it was very good,

1 and I wondered -- the question had been asked how  
2 long was the sunitinib response. But I wondered if  
3 you know, especially for the patients who  
4 responded, which is 13.6 percent, what their prior  
5 sunitinib dose was because Dr. Motzer and some  
6 other folks from Pfizer reported not too long ago  
7 data that showed that with 25 and 37.5 really,  
8 there wasn't as much activity, in fact, almost no  
9 activity for sunitinib. So I wonder if these were  
10 really progressing on sunitinib or progressing on  
11 full dose sunitinib as far as their responses were  
12 concerned.

13 So do you know what the prior sunitinib dose  
14 was of the patients when they, quote, "progressed  
15 on sunitinib?"

16 DR. ANDREWS: Yeah, we didn't collect that  
17 data on the prior dose. We don't have that data.  
18 I just would make one comment. I mean, is  
19 sorafenib active in the sunitinib refractory group?  
20 This is a group where they have been progressing.  
21 It is determined progressed by CT or MRI scan. And  
22 in that setting where they've progressed on



1 sunitinib, 62 percent of the patients showed tumor  
2 shrinkage at some level in the waterfall plots, and  
3 the response rate was around 8 percent,  
4 7.7 percent. And if you put that into context  
5 against the everolimus data that Dr. Motzer showed,  
6 where the response rate was zero percent, it  
7 provides some evidence of activity, I believe.

8 DR. FOJO: It does, assuming that they were  
9 on a good sunitinib dose when they progressed,  
10 which is why I was asking that. But I guess you  
11 don't have that data.

12 Then the other couple of points that I made,  
13 it seemed the titrating axitinib was important, and  
14 certainly, those that went up to 7 and those that  
15 went to up 10 had lower, before titration, AUCs.  
16 And then they got into the AUC of the 5 milligram  
17 BID patients who did not titrate, suggesting that,  
18 in fact, a fraction of the patients seemed to be  
19 metabolizing the drug more rapidly.

20 Is that the way you-all interpret it?

21 DR. ANDREWS: Yes, I'd like to invite  
22 Dr. Yazdi Pithavala, our clinical pharmacologist,

1 to talk more about that, pharmacokinetics.

2 DR. PITHAVALA: Yazdi Pithavala, clinical  
3 pharmacology, Pfizer. That is indeed our  
4 interpretation. Like most oral drugs, this drug  
5 has some inter-subject variability, and we expect  
6 that -- while we know for a fact that 5 milligrams  
7 BID is the appropriate dose for the majority of  
8 patients, we expect there is a subset of patients  
9 who are probably getting subtherapeutic exposures.  
10 And we want to allow those patients to catch up  
11 with the remaining subjects, and that was the  
12 hypothesis behind the implemented dose titration.  
13 And, indeed, after collecting PK data across our  
14 pooled phase 2 studies, we found that the subjects  
15 who eventually went up to the 7 and 10 milligram  
16 BID dose had lower exposures to begin with. And,  
17 therefore, dose titration allows those patients to  
18 catch up. It's acknowledging that one size doesn't  
19 fit all and in an effort to get optimized  
20 exposures.

21 DR. FOJO: But then one would have to note  
22 that sorafenib titration was not allowed, and one

1 would wonder what if you did allow sorafenib  
2 titrations since sorafenib would, like axitinib, be  
3 expected to have a fraction of patients who had  
4 AUCs that weren't as robust. And that's also  
5 something that one sees commonly in these patients.

6 Then I had two other things. You actually  
7 showed slide 127. Could we see that again?

8 Am I allowed to ask for a slide?

9 DR. ANDREWS: Can we show Slide, I believe,  
10 E-127?

11 DR. FOJO: Yes, the one looking at  
12 symptomatology in the patients.

13 DR. ANDREWS: Yes, can we see slide SE-127?

14 DR. FOJO: Right, this one.

15 So based on this, I believe that the  
16 submitted material said that -- and you were  
17 alluding to it a second ago, said that, well,  
18 axitinib allowed patients to stay on treatment  
19 longer, and they were feeling well with it. And  
20 so, consequently, it's better in terms of  
21 symptomatology. But one really has to ask because  
22 all of these patients then crossed over to other

1 agents that in RCC have been proven to be effective  
2 to varying degrees, and, clearly, it helped them  
3 survive just as long because the overall survival  
4 is coming in at the same.

5 So one assumes that when they switched over  
6 to another agent, they probably had comparable  
7 benefits. So I'm not quite sure that -- it's a  
8 little bit of a chicken and an egg, that you stay  
9 on longer on this drug, and so you must do better,  
10 but you were also able to transition over to other  
11 drugs and probably do as well.

12 The other thing is here, the drop looks  
13 dramatic -- the drop -- I mean the Y axis starts on  
14 25, not at zero. So it's not that dramatic a drop  
15 off therapy, but we're assuming that these are then  
16 going onto other treatments. I don't know what  
17 your thought was on that as to whether that would  
18 be a fair way to characterize the benefit.

19 DR. LOGAN: Can I just make one other  
20 comment on that slide? This is patients on -- if I  
21 understand, it's patients that are on treatment  
22 actively. And so you're not in a situation of

1 informative censoring where you're losing people  
2 that come off treatment or progress. So the  
3 patient populations may no longer be comparable at  
4 this point. So you got to be very cautious in  
5 interpreting this kind of figure.

6 DR. ANDREWS: Yes, acknowledged, and we  
7 didn't -- that's why we wouldn't present it in our  
8 main presentation.

9 Just to the comment, the drop, I believe, is  
10 three points which is considered to be clinically  
11 meaningful and validated, and Dr. Cella could talk  
12 about that.

13 I interpret this curve to say while a  
14 patient's cancer is being controlled, as you've  
15 said, there's benefit for both arms. When they  
16 come off treatment, when it's not controlled, that  
17 benefit drops. That's the way I would interpret  
18 this.

19 DR. FOJO: And then the last comment at the  
20 risk of sounding to be on a pulpit here, it's  
21 remarkable. So sorafenib was first dosed in 2003  
22 in the randomized trial. We're eight years into

1 the VEGF in kidney cancer. And there isn't even a  
2 single marker, and this trial has no markers at  
3 all, anything to predict efficacy. And when you  
4 look at the data for both sunitinib and sorafenib,  
5 30 to 40 percent of the patients are off within  
6 three months.

7           Clearly, a large percentage of the patients  
8 are deriving absolutely no benefit from these  
9 drugs, and we haven't a clue as to how we might  
10 predict that. And it's a shame that hasn't gone on  
11 further. Thank you.

12           DR. ANDREWS: I'd like to ask Dr. Brian Rini  
13 just to comment briefly on that.

14           DR. RINI: Thank you. Brian Rini, Cleveland  
15 Clinic. Before I get to the biomarker question  
16 which I think is critical, I wanted to address two  
17 of Dr. Fojo's earlier comments, one on previous  
18 sunitinib dosing and one on sorafenib dose  
19 titration.

20           So, unfortunately, we don't have data on the  
21 previous sunitinib dose. I agree it'd be  
22 interesting to look at. I guess I would say, my

1 experience, patients who do the best on sunitinib  
2 the longest term actually are probably the ones who  
3 end up 37.5. I think most people end up 37.5 over  
4 time, whereas if you progress after two cycles, you  
5 may have gotten 50 for two cycles and progressed.

6 So I'm not sure that looking at dose would  
7 actually show you. It might actually be the  
8 opposite. It might be people who tolerate it and  
9 are on it for a long time who actually end up at a  
10 lower dose. I don't know what Bob's experience is,  
11 but that would be mine. So it'd be interesting to  
12 look at, but I'm not sure in which direction it  
13 would fall.

14 In terms of sorafenib dose escalation, as  
15 you're aware, there was data presented at ASCO this  
16 year by Martin Gore, a multicenter trial, looking  
17 at sorafenib dose escalation because there had been  
18 prior single center phase 2 studies that seemed to  
19 be promising. What was shown in a multicenter  
20 prospective experience is that sorafenib dose  
21 escalation is neither tolerated nor efficacious and  
22 is not routine in clinical practice, and that's why

1 it wasn't allowed in this study.

2 Then lastly, in terms of biomarkers, I  
3 couldn't agree more, and many of us are dedicating  
4 a lot of our academic lives to finding biomarkers  
5 in kidney cancer because right now, we have an  
6 empiric list of therapies. And that's why trials  
7 like this to sort of define therapy in a specific  
8 circumstance are critical.

9 As you may know, one of the interests of  
10 mine has been blood pressure. As a biomarker, some  
11 of the first data was with axitinib in a pooled  
12 analysis of phase 2 studies.

13 If we could just pull up slide SE-362.

14 This is published data not from the  
15 prospective phase 3 but from prior phase 2  
16 experience looking at a landmark of patients who do  
17 or not develop elevations of diastolic pressure on  
18 treatment, showing an advantage to patients who do  
19 develop hypertension. Now, obviously, this  
20 requires you to be on therapy to know, so it  
21 doesn't allow you to avoid therapy in patients.

22 What we're looking for obviously is some



1 genotype that correlates with this phenotype that  
2 we could test before treatment. So this is a clue.  
3 It's a signal. I think it's a strong signal for  
4 this drug and promising, but there's a lot of work  
5 to be done.

6 DR. WILSON: Okay. Let's move on. I  
7 believe Dr. Sekeres has a very brief comment that's  
8 relevant to what we've been discussing.

9 DR. SEKERES: Yes, just about your recent  
10 comment about the patient-reported outcomes.

11 We can't say that patients' quality of life  
12 drops when they're off drug because it wasn't  
13 measured. It's just as likely that their quality  
14 of life may improve because they don't have side  
15 effects of the drug. So bottom line, patient-  
16 reported outcomes, there's no advantage to either  
17 drug above the other.

18 DR. WILSON: Okay. Thank you.

19 Dr. Curt.

20 DR. CURT: Thank you, Dr. Wilson.

21 Question for the FDA. In the agency's  
22 presentation, we saw that the PFS was being driven

1 by a patient subset which would be likely  
2 underrepresented in the U.S. population. But in  
3 the sponsor's presentation, if you look at the  
4 actual data from the U.S. patients, the hazard  
5 ratio is, if anything, a little better than the  
6 general study population. And I'm wondering if you  
7 could help me square those two facts.

8 DR. ANDREWS: Yes. My interpretation would  
9 be that the confidence intervals are wide around  
10 the hazard ratio, and I don't know the numbers have  
11 changed too much. I showed you the overall, and I  
12 showed you the sunitinib refractory.

13 If I may show again slide 28 -- I mean  
14 Deck 28; again, if you draw down on the hazard  
15 ratios, which I think are a better estimate of the  
16 overall treatment effect, the hazard ratio for the  
17 overall was .67. I think in the U.S. population,  
18 it was .61, you quoted. I don't know it's changed  
19 that much. It's reassuring, to me, though, that  
20 the effect is maintained in the U.S. with lower  
21 numbers of cytokine refractory patients.

22 DR. CURT: The data is the data overall.

1 DR. WILSON: Okay. Thank you.

2 Dr. Freedman.

3 DR. FREEDMAN: Thank you, Dr. Wilson.

4 I'd like to get some clarification from the  
5 FDA, first of all. Apparently in May 2007, the FDA  
6 recommended overall survival as the primary  
7 endpoint, and then it seems that the SPA was denied  
8 in January of '08. But then in April, there was a  
9 change in position, and I think -- I was just  
10 wanted to know what was the thinking when you made  
11 the change.

12 DR. MCKEE: The initial SPA submission  
13 included an interim analysis of PFS, which we  
14 generally don't give SPA agreements for. And one  
15 of the major changes in the two SPAs that were  
16 submitted to the agency is that the one which was  
17 accepted and agreed to by the FDA did not have an  
18 interim analysis of PFS, and that was one of the  
19 primary differences.

20 DR. FREEDMAN: I see. I had some other  
21 questions for the sponsor.

22 With regard to the PRO, we see that the

1 graphs show that there isn't a real difference  
2 there. On the other hand, I was interested,  
3 whether any of the individual symptom items in the  
4 instrument showed a deterioration? For example, we  
5 see that fatigue was increased in the population  
6 that received the test drug. That's the one  
7 question.

8 The other question that I have is the number  
9 of patients who were indeterminate, or not assessed  
10 for their primary endpoint, seem to be double in  
11 the control group.

12 Can the sponsor explain that? There's a  
13 figure of 6.1 percent versus 11.6 percent for the  
14 sorafenib.

15 DR. ANDREWS: First, coming to the fatigue,  
16 there was one question on fatigue in the  
17 individual --

18 DR. FREEDMAN: As part of the PRO, I think  
19 it's understood that the PRO -- the QRL is made up  
20 of multiple points, and knowing what those  
21 individual symptoms are is probably quite important  
22 to patients. For example, if fatigue is

1 significantly affected during the course of the  
2 treatment, one patient may -- certain patients may  
3 want to know that specifically.

4 DR. ANDREWS: I understand, and if I may  
5 show SE-91, these are the results from that one  
6 question, subset again and with acknowledging the  
7 other comments that have been made around this.

8 What you can see there is the axitinib in  
9 blue, the sorafenib in yellow, a little bit to very  
10 much. The majority of the fatigue in both arms was  
11 around a little bit prior to entry, went up a bit  
12 on study, but it didn't appear from these data that  
13 fatigue was that bothersome. And I'd also mention  
14 that overall fatigue discontinuations in Dr. Kim's  
15 presentation were approximately the same between  
16 the two arms.

17 DR. FREEDMAN: Were any symptoms -- did any  
18 symptoms deteriorate as part of the instrument?

19 DR. ANDREWS: No.

20 DR. PAZDUR: Could I just make a point  
21 because there's been several comments regarding  
22 PROs here? I'd like to remind the committee that

1 this was a unblinded trial here, and, hence, any  
2 evaluation of patient-reported outcomes have to be  
3 placed in that perspective. And I think if there  
4 were huge differences, big effects here, then we  
5 could probably just have a discussion here of  
6 perhaps any therapeutic influence here. But given  
7 the fact that this was a unblinded trial, one has  
8 to put the whole issue of patient-reported outcomes  
9 in that perspective.

10 Likewise, claims that there are no  
11 differences between arms, remember, sloppiness,  
12 et cetera, obscures differences. And, hence, to  
13 try to make any therapeutic claim of a benefit of a  
14 drug based on no differences between two arms is  
15 relatively tenuous here. So I just want to put  
16 this in perspective.

17 DR. FREEDMAN: And I had another question  
18 there.

19 DR. ANDREWS: Okay. Yes, sorry. You had  
20 one more question. Apologies. I didn't answer the  
21 question about the numbers not assessed?

22 DR. FREEDMAN: Yes.

1 DR. ANDREWS: Yes, the majority of those  
2 were early discontinuations on the sorafenib arm  
3 relative to axitinib. So there were more  
4 discontinuations early prior to the six-week  
5 planned scan visit for the sorafenib arm.

6 DR. FREEDMAN: Do we know the reasons for  
7 the early discontinuation?

8 DR. ANDREWS: It was really the same kind of  
9 reasons as was shown in the overall population.

10 If I may just show slide E-33, this  
11 summarizes the data you're talking about.

12 Here then are the differences, and what I  
13 hinted is two versus seven discontinuations,  
14 axitinib and sorafenib. The others were relatively  
15 similar except for refuse continued treatment. And  
16 these were all before six weeks. That was the  
17 majority of the driver. We did do a number of  
18 sensitivity analyses looking at treating  
19 discontinuations differently.

20 Particularly if I may show slide E-34. The  
21 numbers did change where we moved the missing on  
22 study scan right to the end of the study and

1 treated them right at the end as opposed to when  
2 they dropped out. But, in essence, the benefit was  
3 still maintained for axitinib over sorafenib.

4 If I may also briefly show slide E-26, just  
5 to put this in context, we did a number of  
6 sensitivity analyses across a number of different  
7 assumptions, and really, the fact it was maintained  
8 throughout all these hazard ratios to the left of  
9 1, showing benefit in favor of axitinib over  
10 sorafenib.

11 DR. FREEDMAN: One more question.

12 So a number of patients on both arms were  
13 treated beyond the progress of disease endpoint.  
14 Do you know why that was permitted to happen, and,  
15 also, what was the average duration on each arm for  
16 that treatment? Obviously, that could affect your  
17 results related to the duration of treatment for  
18 either arm, the interpretation of those results.

19 DR. ANDREWS: If I may show E-211. These  
20 then, I believe, are the data you're talking about.  
21 We've split it out by various different subgroups.  
22 There's always the top line, then sunitinib



1 refractory, cytokine refractory. And you can read  
2 the numbers here. And we permitted -- well, let me  
3 stay with that point.

4           Essentially, there was slightly more  
5 patients continuing on treatment on sorafenib arm  
6 versus axitinib, which may have muted the effect on  
7 overall survival. It's hard to determine that to  
8 be definitive. Why was this permitted?

9           Essentially, the primary endpoint was progression  
10 per IRC. We didn't want the -- and we didn't share  
11 that assessment with the investigators. We didn't  
12 want patients discontinuing per investigator and  
13 then not having that assessment confirmed by IRC,  
14 and losing them to the primary efficacy analysis.  
15 I believe this is common in a number of clinical  
16 trials and happens in practice.

17           DR. FREEDMAN: But you do have more than  
18 10 percent of patients that are continuing  
19 treatment beyond 56 days?

20           DR. ANDREWS: Yes.

21           DR. FREEDMAN: That's quite a large amount.

22           DR. ANDREWS: Yes, and if I may, just

1       briefly to show the subsequent treatment as well,  
2       there were a number of other subsequent treatments.  
3       A lot of those were effective and received in both  
4       arms, E-243.

5               Combined with continuing on the active  
6       therapy, a number of patients went on to subsequent  
7       therapy, 54 percent in the axitinib arm overall,  
8       57 percent in the sorafenib arm. And you can read  
9       then numbers there. I would identify one  
10       difference. More of the axitinib patients went on  
11       to sorafenib. Less of the sorafenib patients  
12       continued sorafenib here, and then there was  
13       slightly more temsirolimus, bevacizumab, and  
14       pazopanib with the sorafenib arm.

15              DR. WILSON: Okay. Let's go ahead and move  
16       on.

17              Ms. Meyer.

18              MS. MEYER: I was just curious of what were  
19       the age requirements for this. Were there any  
20       exclusions on age?

21              DR. ANDREWS: Yes, can I ask Dr. Jamal  
22       Tarazi just to briefly describe the study? He's

1 the study commission.

2 DR. TARAZI: Jamal Tarazi, Pfizer oncology.  
3 This study inclusion allowed all patients above  
4 18 years old globally to be enrolled in the study,  
5 except for Japan. Their regulatory system allows  
6 over 20. There is no limit for age.

7 MS. MEYER: I also wanted to ask you, there  
8 was something about the difference when you  
9 started, you increased -- there was a dropout rate  
10 that you -- there was a patient dropout rate for  
11 something about the population you had to increase  
12 with the original protocol.

13 DR. ANDREWS: Okay. I understand.

14 MS. MEYER: Could you explain that? I'm  
15 sorry.

16 DR. ANDREWS: I understand. So the original  
17 study was powered on a different hazard ratio of  
18 .714, and it required 402 events. It's an event-  
19 driven study. That drives the power of the  
20 statistical properties. As we went through the  
21 study, we realized that there would be some  
22 patients lost because of discontinuations early

1 that don't contribute to that -- sorry, 409 events;  
2 let me correct myself; 409 events. And so we  
3 increased the size of the study to make sure we had  
4 409 events to complete the analysis.

5           Again, the power didn't change because it's  
6 driven by the 409 events, which is what we hear.  
7 We did do a retrospective analysis just looking at  
8 the results if we'd just recruited the original  
9 sample size, and the results were very similar  
10 again there.

11           DR. WILSON: Okay. Thank you.

12           Dr. Vose.

13           DR. VOSE: Thank you.

14           I just wanted to get back to the cytokine  
15 refractory issue and kind of in the U.S., the  
16 patients are going to be eligible based upon that,  
17 since those are the patients that seem to benefit  
18 the most. So we are a center that does high-dose  
19 IL2 for this indication, and we've seen a huge  
20 drop-off in patients receiving it or being referred  
21 for this indication.

22           So my question was to the sponsor, over the

1 time of the study, from the beginning to the end,  
2 did you see a change in the percentage of patients  
3 that were entered on the study because they were  
4 cytokine refractory as compared to the other  
5 indications for being entered on the study?

6 DR. ANDREWS: Yes, I don't believe we've had  
7 that data or have that analysis to show you over  
8 the study. We did do one analysis -- again, I  
9 think Dr. Rini mentioned this -- looking at the  
10 U.S. population excluding the cytokines that were  
11 used and looking at the results just in the U.S.  
12 population for those that didn't receive a  
13 cytokine. Again, the hazard ratio was around about  
14 .6 in that analysis, in fact, .556.

15 So I don't believe the cytokines had any  
16 effect on the U.S. population, acknowledging this  
17 is a subgroup of a subgroup and the issues with  
18 that.

19 DR. WILSON: Okay. I'm going to take one  
20 more question, Dr. Kelly, and then we're going to  
21 take a 15-minute break. And then we will come back  
22 and resume questions to the sponsor and FDA because

1 we have a little extra time.

2 So, Dr. Kelly.

3 DR. KELLY: Thank you, Dr. Wilson.

4 I have one comment and three questions. The  
5 first comment is to answer Dr. Sekeres and  
6 Dr. Vose's question, in the U.S., around 60,000  
7 patients a year have renal cell carcinoma;  
8 25 (thousand) to 35,000 have advanced renal cell  
9 carcinoma. And current research actually shows  
10 that only around 2,000 patients actually get high-  
11 dose IL2 currently. How much get interferon is  
12 questionable, but it's actually a small number.

13 The question to the sponsor, eligibility,  
14 you had an amendment come through that actually  
15 said that you could actually use combination  
16 upfront. How many patients actually got  
17 combination therapy upfront?

18 DR. ANDREWS: Yes, the primary group was the  
19 bev-interferon.

20 DR. KELLY: Right.

21 DR. ANDREWS: And some of those patients  
22 actually entered on bev-Torisel. There were 60

1 patients in total -- 59 patients in total with  
2 bev-containing regimes. Of about 21 of those, 35  
3 of those were bev-interferon, 15 of those were  
4 bev-Torisel, and another nine were bevacizumab and  
5 another regime.

6 DR. KELLY: Can you break those down in  
7 percentages for us? You know, overall, how many  
8 actually got combination upfront versus single  
9 agent?

10 DR. ANDREWS: Well, the 60 patients which  
11 was the total combination --

12 DR. KELLY: Sixty on both arms?

13 DR. ANDREWS: Yes, it's equally balanced. I  
14 can show you slide C-17, if it helps. These are  
15 the numbers. I didn't have the percentages here,  
16 but, as you can see, bev-interferon was 17; 18,  
17 bev-Torisel; temsirolimus was 7, 8; and bevacizumab  
18 another 5 and 4.

19 DR. KELLY: Okay. Thank you.

20 Another question is, going back to the  
21 first-line treatments, do you have the median  
22 duration which patients are on the first-line

1 treatments? That's another way -- I've been  
2 asking -- what everybody else is doing, but I'm  
3 trying to get to the answer here.

4 DR. ANDREWS: I understand, and I'm  
5 not -- can we answer that as soon as we come back  
6 after the break?

7 DR. KELLY: Yes. Then one last question is,  
8 using a lot of these TKIs, a lot of us know that  
9 they have some profound effects, biological  
10 effects. And one thing that we're noticing, as you  
11 discontinue the drug, you get flare effects. So  
12 it's very intriguing looking at the deaths during  
13 28 days after discontinuation of the drug.

14 So the question will arise, you have a very  
15 potent TKI here. When you discontinue the drug, do  
16 you have a flare of disease leading to increased  
17 deaths; any data to show that it's not true?

18 DR. ANDREWS: I think if I take you to the  
19 cytokine refractory population, acknowledging  
20 there's comments around how much is used, the  
21 overall survival there, the hazard ratio was .81 in  
22 favor of axitinib over sorafenib, and we didn't see



1 any indication there.

2 Bob? Dr. Motzer?

3 DR. MOTZER: Robert Motzer from New York.

4 There has been some data, preclinical data, to  
5 suggest that RCC tumors exposed to VEGF-targeted  
6 agents accelerate when the drug is withdrawn, but  
7 there isn't any clinical data for that. There's no  
8 clinical data to say when you stop sunitinib, there  
9 is a more rapid growth of tumor or tumor explosion  
10 or anything like that. There's no clinical data to  
11 support that.

12 I can tell you in my own practice, taking  
13 care of kidney cancer patients since the mid-1980s,  
14 I've seen lots of patients progress with RCC with  
15 all different therapies, and I personally haven't  
16 noticed any kind of a difference with accelerated  
17 growth when these targeted drugs are stopped.

18 DR. KELLY: The question was, did you see  
19 any data in this population that tells me that's  
20 not true?

21 DR. ANDREWS: Again, short of the cytokine  
22 data, it's very hard to show one way or the other

1 in this study.

2 DR. KELLY: Thank you.

3 DR. WILSON: Okay. So we will now take a  
4 15-minute break. We will reconvene at 10:30. I  
5 would like to remind the members of the panel that  
6 there should be no discussion of the issue at hand  
7 during the break amongst yourselves or any members  
8 of the audience. Thank you.

9 (Whereupon, a recess was taken.)

10 DR. WILSON: Okay. May I have everybody  
11 take their seats so we can go ahead and get  
12 started?

13 So I do want to note that there are no  
14 speakers for the open public hearing this morning,  
15 and so that's why we have a little bit of extra  
16 time to continue with the questions to the sponsor  
17 and FDA.

18 So I'd like to recognize Dr. Armstrong.

19 DR. ARMSTRONG: Thank you, Dr. Wilson. I  
20 wanted to address something that several other  
21 questioners had addressed, which is the apparent  
22 contradiction in the good outcome in the U.S.

1 patients but the fact that there was fewer cytokine  
2 pretreated patients in the U.S. population, and yet  
3 the benefits seemed to be greater in cytokine.

4 One of the questions I had, it seems like  
5 most of the cytokine treatment in the U.S. is high-  
6 dose IL2. Is it different cytokine treatment  
7 outside the U.S., and could that be a potential  
8 explanation as to why the difference of why the  
9 U.S. population, even with a low percentage of  
10 cytokine pretreatment, does well, but overall  
11 cytokine pretreatment patients do better?

12 DR. ANDREWS: Glen Andrews, Pfizer again.  
13 In the rest of the world, it's primarily  
14 interferon. And we did look to see whether the  
15 response was different to axitinib to IL2 or  
16 interferon. Now, the numbers are, again, small,  
17 but we didn't see a difference overall in response.

18 Let me see if Dr. Motzer would just want to  
19 make any comments on the interferon and the  
20 interleukin 2.

21 DR. MOTZER: Robert Motzer, New York.  
22 Nothing really to add to Glen's statement. In the

1 U.S., cytokine use now is primarily high-dose IL2  
2 or interferon in combination with bevacizumab. I  
3 suppose there are some others that are using  
4 interferon first line. Outside the United States,  
5 it's predominantly interferon, and interferon or  
6 interferon plus vinblastine have regulatory  
7 approval in many countries in Europe for standard  
8 use.

9 DR. ARMSTRONG: Thank you. I had one other  
10 question. Was the assessment of the outcome based  
11 on cytokine pretreatment or not a preplanned  
12 subgroup analysis?

13 DR. ANDREWS: So you're referring to the  
14 primary endpoint of progression-free survival?

15 DR. ARMSTRONG: Right, the difference  
16 between the cytokine group.

17 DR. ANDREWS: We stratified in the  
18 randomization for that. We stated we would look at  
19 it. We didn't look at it. We didn't power any of  
20 those subgroups to actually go down into those  
21 subgroups and detect statistical differences. We  
22 were trying to run a real-world trial, so we didn't

1 fix the numbers, either, in any of those subgroups.  
2 As you can see for the temsirolimus and  
3 bevacizumab-interferon, they're much lower. So  
4 this reflected the real-world population.

5 Back to your question, we planned to look at  
6 it, but we didn't power it to detect a  
7 statistically significant difference in any of  
8 those subgroups.

9 DR. ARMSTRONG: Let me just ask the agency,  
10 because you were the one who actually presented the  
11 data of the breakdown on the PFS. Although it was  
12 clearly less in the non-cytokine and pretreated  
13 patients, it was still statistically significant,  
14 correct?

15 [Dr. Chattopadhyay nods yes.]

16 DR. ARMSTRONG: Thank you.

17 DR. ANDREWS: And if I may, again, over an  
18 active comparator, sorafenib.

19 DR. WILSON: Okay. Thank you.

20 Dr. Diehl.

21 DR. DIEHL: So one of the things I struggled  
22 with as I was going through the application is

1 where exactly this drug would fit in the  
2 armamentarium against renal cell carcinoma. So  
3 what I would like to do is direct a hypothetical  
4 question to Dr. Motzer or Dr. Rini.

5 The question is this. If you were referred  
6 a patient who had renal cell carcinoma, failed  
7 sunitinib, and the patient did not want a protocol  
8 of chemotherapy, and axitinib was available, and  
9 the patient sat on your exam table and said, "Doc,  
10 you know more about this disease than I do and you  
11 know something about me now. What exactly do you  
12 recommend?" I'd like to know what your answer  
13 would be to that question.

14 DR. ANDREWS: Yes, let me ask both  
15 Dr. Rini -- I think it's an important  
16 question -- both Dr. Rini and then Dr. Motzer to  
17 maybe make some comments around that point.

18 DR. RINI: Brian Rini, Cleveland Clinic. So  
19 just to clarify the question is a metastatic kidney  
20 cancer patient who's sunitinib refractory, who then  
21 comes for an opinion about treatment?

22 DR. DIEHL: Correct.

1 DR. RINI: Thank you. So if available, I  
2 would absolutely recommend axitinib. I mean, I've  
3 been working with the drug, again, for many years,  
4 probably treated over 50 patients. I think it's  
5 the most potent kidney cancer drug out there.  
6 Hasn't been compared to every drug, so I realize  
7 that's my opinion, not supported by randomized data  
8 yet. But there's no question that I would be  
9 absolutely comfortable treating a patient with this  
10 drug in that setting.

11 DR. MOTZER: Robert Motzer, New York. I  
12 think that if you go strictly by the evidence-based  
13 guidelines, sunitinib is the first line. Axitinib  
14 has a trial dedicated specifically in second line.  
15 Everolimus, the trial was in a TKI refractory  
16 population. So I think, specifically by evidence-  
17 based guidelines, that would be the paradigm.

18 Now, that being said, I think there is  
19 controversy in terms of which one to use second and  
20 which one to use third. I mean, I think that the  
21 treatment options for subsequent therapy should be  
22 axitinib, following approval, or everolimus. The

1 order, not so clear on. It may depend on the  
2 individual patient.

3 So I think that most patients with renal  
4 cancer get a series of these different drugs, often  
5 three or four. My own feeling from this trial is  
6 sorafenib should be out. Sorafenib should be  
7 replaced by axitinib. Axitinib's more effective.  
8 I don't see any downside in terms of the toxicity  
9 profile.

10 So with the choice, it's going to be which  
11 one do you give second, which one do you give  
12 third. Everolimus followed by axitinib, or is it  
13 going to be axitinib followed by everolimus? I  
14 think it's going to be an individual decision. And  
15 oftentimes, we make that decision based on the  
16 patient's comorbid conditions.

17 For example, one of the most troublesome  
18 side effects with everolimus is worsening diabetes.  
19 So that in a patient with diabetes already, I would  
20 tend to hold off as long as possible on the  
21 everolimus. On the other hand, my own feeling is  
22 if a patient had difficulty with TKI symptoms or



1 uncontrolled blood pressure, I might offer that  
2 patient everolimus and hold axitinib for third  
3 line.

4 But in the current paradigm, all these  
5 patients get sorafenib. They either get sorafenib  
6 second line, or they get sorafenib third line. And  
7 so my feeling from this data is that sorafenib  
8 should be out of the picture and replaced by  
9 axitinib.

10 DR. WILSON: Okay. Thank you.

11 Dr. Buzdar.

12 DR. BUZDAR: Yes, I have one question about  
13 the proposed indication for this NDA, which is  
14 essentially that it is indicated for treatment of  
15 patients with advanced renal cell carcinoma, and  
16 all the data which we are looking at it over here  
17 this morning is patient population which has been  
18 previously treated, and, essentially, the drug is  
19 being compared as a second-line therapy. So if the  
20 label, if it is approved as it is being proposed,  
21 there is no data which we can say that it has  
22 efficacy which will be similar to currently

1 available drugs in that type of setting.

2 DR. PAZDUR: Let me address that.

3 Generally, we do not talk about the indication as  
4 such. Giving an indication to a drug, we generally  
5 assign that indication to the population that was  
6 studied. As you can see from the question that  
7 we're asking the committee, a risk-benefit in a  
8 second-line setting, I believe the question is  
9 worded.

10 As Amy mentioned during her presentations,  
11 when sunitinib and sorafenib came out, when they  
12 were the first drugs approved really for this  
13 disease, we gave them broad indications, and I'd  
14 like to explain the reason behind that. Those  
15 broad indications were based on the fact that we  
16 did not want patients to go through relatively  
17 ineffective therapies to reach an effective therapy  
18 for this disease, i.e., we didn't want them to be  
19 treated with Megace or interferon or low-dose IL2  
20 when these drugs probably represented a major  
21 advance in the therapy.

22 So that's why we gave these drugs initially

1 large indications. I think now that we have six or  
2 seven already approved, or six drugs approved in  
3 this, it's time to look back and really get a  
4 little more specific in these indications.

5 So as far as the indication, that is  
6 something we will discuss with the sponsor. I  
7 understand where they're coming from. I think the  
8 agency's point of view is probably to label this as  
9 the population studied as a second-line type of  
10 therapy rather than a broad indication. I think  
11 I've given you the reason why the other drugs have  
12 gotten those indications. That was then; this is  
13 now. It's a different world.

14 DR. WILSON: Okay. Thank you.

15 Dr. Garnick.

16 DR. GARNICK: I just want to clarify  
17 something that to me is a critical component of the  
18 operation of the protocol. So patients that were  
19 refractory to either cytokine or sunitinib, they  
20 obviously had some sort of study examination,  
21 physical examination already, a graphic  
22 examination, that deemed them as having progressive

1 disease, thus making them eligible. So the patient  
2 then gets baseline evaluation, so some probably  
3 additional scans were done at that time.

4           Was there any comparison between the  
5 baseline scan to make them eligible compared to  
6 their scan or determination that enabled them to be  
7 considered too refractory to first-line therapy?  
8 And if so, were those compared, and were there any  
9 differences looked at in terms of the end of their  
10 first-line program till the time that they became  
11 eligible for your study?

12           I'm trying to get at the issue of  
13 heterogeneity of patient populations and trying to  
14 get some surety that they were balanced through the  
15 two arms of the randomization.

16           DR. ANDREWS: Let me be clear. We didn't  
17 have the prior scans from the previous first-line  
18 treatment. We had the baseline scans, and every  
19 patient had documented disease at baseline at that  
20 time. So we couldn't make that comparison to the  
21 prior scans.

22           I was asked in an earlier question about the

1 prior treatment duration of sunitinib, and the data  
2 I was remembering, we don't have. It was prior  
3 duration of treatment from -- sorry -- duration of  
4 time from prior metastatic diagnosis, and that was  
5 the same in both arms and supports to some extent  
6 that there weren't any differences there.

7 DR. GARNICK: So I assume that the  
8 independent review committee did not confirm the  
9 true refractoriness of the patient on first-line  
10 therapy?

11 DR. ANDREWS: That's correct.

12 DR. GARNICK: Okay. So we really don't know  
13 if there was comparability in terms of rate of  
14 progressive disease at the time that they entered  
15 the study?

16 DR. ANDREWS: Yes, I think, again, we  
17 did -- so the scans were confirmed by either CT or  
18 MRI or bone scan by the principal investigator at  
19 each site. There was a limited number of patients,  
20 about 1 percent in each arm, 2 percent in each arm,  
21 that had their scans diagnosed by other reasons.  
22 When we excluded those patients, and it was about

1 the same in each arm, we got the same over estimate  
2 of hazard ratio again, and this estimate around  
3 .67.

4 In this case if I could show Slide E-37.  
5 This then excluded those patients that didn't have  
6 documentation. Per investigator, it was refractory  
7 by CT or MRI. And you can see again the hazard  
8 ratio here of .68, on the right-hand side, the  
9 original one of .67, really no difference in the  
10 medians. So I don't believe this contributed to  
11 any of the results.

12 DR. GARNICK: And my last point is on your  
13 briefing document, you make reference to a small  
14 Japanese study in which a refraction study seemed  
15 to reverse after axitinib was discontinued. Do you  
16 have any clinical data from the phase 3 study if  
17 that occurred?

18 DR. ANDREWS: Yes, the majority of the TSH  
19 issues were easily treated with hormone replacement  
20 therapy. Again, the majority was grade 1, some of  
21 it was grade 2, which indicates treatment, and most  
22 of it reversed by the next cycle.

1 DR. WILSON: Okay. Thank you.

2 Dr. Kelly.

3 DR. KELLY: Yes, just want to follow up on  
4 Dr. Diehl's questions and the comments by  
5 Dr. Motzer and Dr. Rini. We have to remember what  
6 the goals of care are for these patients. They're  
7 metastatic renal cell carcinomas, noncurable, so  
8 most of this is palliative therapy.

9 The question I have is when you use axitinib  
10 is, do you get a quicker response when you do it?  
11 It's a more potent drug, but just because using a  
12 more potent drug doesn't mean it's a better drug.  
13 And the question is, is why would you use it over  
14 sorafenib if you don't have a survival benefit? Is  
15 there a benefit that you can actually tell us that  
16 a more potent drug in this situation would be more  
17 beneficial, and have we seen that in the phase 3  
18 trial? Remember what our goals of care are for  
19 that patient.

20 DR. ANDREWS: Yes, let me ask both Dr. Rini  
21 and Dr. Motzer to respond. I would say, again, the  
22 hazard ratio in the cytokine refractory, except in

1 that, shows the potency both for PFS, hazard ratio  
2 of .046, and a benefit in overall survival, hazard  
3 ratio of .81, not statistically significant but  
4 similar to other of the studies here.

5 DR. RINI: Brian Rini, Cleveland Clinic. So  
6 I agree with you, the goals of care are really  
7 controlling patients' disease because this is a  
8 palliative setting by and large. And so I think  
9 there is evidence that you are controlling disease  
10 for a longer period of time compared to a  
11 biochemically weaker agent, i.e., sorafenib.

12 The first part of your question I thought  
13 was in terms of speed of response. There's an  
14 increase in objective response rate. Does that  
15 happen quicker on this drug? I don't know if we  
16 have data to support that it does or doesn't.

17 I can tell you my clinical experience with  
18 this and with sunitinib is that it does occur  
19 quicker. If it's going to occur, you generally can  
20 see it. You can see clinical responses in a matter  
21 of days to weeks. Now, obviously, we don't do  
22 radiographs until six weeks on this study and in



1 clinical practice often 10 or 12 weeks.

2 DR. WILSON: Okay. Thank you.

3 Dr. Sekeres.

4 DR. SEKERES: Thank you, Dr. Wilson.

5 I wanted to follow up a little bit on the  
6 path that Dr. Garnick was taking. In your table in  
7 your submission, and that's Table 11, the median  
8 time since diagnosis for patients on each arm was  
9 approximately 100 days. It raises the question of  
10 truly how refractory patients were to previous  
11 therapies.

12 So is 100 days, approximately three months,  
13 enough to determine that somebody is refractory or  
14 intolerant of a therapy? What I'm kind of driving  
15 at, again, is whether this is truly a population of  
16 patients who were refractory to a previous therapy  
17 or whether they were inadequately exposed to a  
18 previous therapy. And, really, we're talking about  
19 more some truly first-line patients who were mixed  
20 into this group versus second-line patients who  
21 were here.

22 So do you have information on relapsed

1 versus refractory and duration of treatment to  
2 previous therapies, including cytokine regimens and  
3 sunitinib?

4 DR. ANDREWS: Yes, I'm just looking at the  
5 table, and I believe it's weeks, not days.

6 DR. SEKERES: I'm sorry.

7 DR. ANDREWS: And while I was trying to find  
8 the table, I missed the second question.

9 DR. SEKERES: So do you have data on whether  
10 patients were truly relapsed or refractory to  
11 previous therapy and duration of treatment with  
12 previous therapy for both sunitinib-containing  
13 regimens and cytokines?

14 DR. ANDREWS: Well, we have documentation in  
15 the case report form by the principal investigator  
16 that they were indeed refractory, either by CT,  
17 MRI, bone scan? I showed you those data just a  
18 moment ago which supported however they were  
19 confirmed by CT or MRI or weren't, the hazard ratio  
20 was still the same.

21 It's also just worth returning because I  
22 think a number of people have asked me this

1 question again, just to look at, if I may show  
2 slide E-65. Just in terms of response to prior  
3 sunitinib, whether it was less than three months,  
4 the duration of time that they were on sunitinib,  
5 or greater than three months, three months we  
6 picked. And it's a bit arbitrary, but I could show  
7 you the same for six in terms of early refractory  
8 patients. The efficacy, the Kaplan-Meier curves  
9 were identical. Here, the dotted line is less than  
10 three months and the solid line, greater than three  
11 months for prior therapy.

12 So although I can't show you the data that  
13 shows you the balance, what I can show you is it  
14 doesn't appear to drive the axitinib effect.

15 DR. SEKERES: Okay. Just to follow up again  
16 on the proposed scenario that Dr. Diehl gave of a  
17 patient walking into your clinic, so, Dr. Motzer,  
18 if you were chairing the NCCN panel, what category  
19 would you give this trial?

20 DR. ANDREWS: Can I ask Dr. Motzer?

21 DR. MOTZER: I think this would -- you want  
22 to pull up slide 65? I think based on the phase 3

1 nature and the data itself, I think it's a high  
2 level, Category 1, randomized trial showing benefit  
3 in this particular setting.

4 DR. SEKERES: So that it doesn't have a  
5 survival advantage like the everolimus data,  
6 would -- I'm sorry -- like other data, the  
7 temsirolimus data, wouldn't influence that?

8 DR. MOTZER: No. I mean, a survival benefit  
9 has been elusive in studies for RCC. The only two  
10 that have shown a survival benefit was one in  
11 Europe with interferon and the temsirolimus, which  
12 was in a kind of special patient population. All  
13 the rest have been in PFS, and for the most part,  
14 PFS is kind of the benchmark we use in the RCC  
15 academic community for a benefit.

16 Although the studies by themselves haven't  
17 shown benefit in OS within the study, we have all  
18 seen a remarkable improvement in overall survival,  
19 overall, for our patients with RCC in the era of  
20 targeted therapy.

21 DR. SEKERES: Thank you.

22 DR. WILSON: Dr. Pazdur.

1 DR. PAZDUR: Let me bring this into some  
2 regulatory reality here for everybody, okay? In  
3 fact, let me come to Pfizer's help here, and I'm  
4 surprised you guys didn't bring this better out in  
5 your presentation.

6 What we're talking about is regular approval  
7 here, okay? Regular approval carries with it the  
8 obligation that one demonstrates safety and  
9 efficacy. There is no requirement that one  
10 demonstrates comparative safety and efficacy.  
11 Obviously, if you had a drug that was remarkably  
12 worse in safety profile or a efficacy parameter,  
13 then we would have to address this. This is not  
14 the case here.

15 But I really want to underscore the issue  
16 that we do not have a comparative efficacy standard  
17 here. It is the demonstration of safety and  
18 efficacy and has the sponsor done that. Remember,  
19 in other therapeutic areas that deal with non-life  
20 threatening diseases -- and I'll come back to  
21 oncology because it is a special situation because  
22 we're dealing with life-threatening diseases here.

1           But in non-life-threatening diseases, the  
2 agency frequently approves multiple, multiple  
3 renditions of drugs, me-too type of drugs -- and  
4 I'm not calling this a me-too drug; I want to make  
5 that quite clear. But they do approve multiple  
6 drugs on the basis of placebo-controlled trials.  
7 They're able to do placebo-controlled trials  
8 because even if you have other comparators  
9 approved, or other non-comparators, they're able to  
10 do placebo-controlled trials because they're  
11 dealing with a non-life-threatening disease.

12           Here when we're dealing with a  
13 life-threatening disease, we have to really take a  
14 look at an active comparator here. And we've  
15 gotten around that in oncology frequently by doing  
16 add-on trials. This was not the case here, okay?  
17 We sometimes do placebo-controlled trials, and  
18 we've had actually sponsors come to us after five  
19 of these drugs were approved and wanted to do a  
20 placebo-controlled trial, and we said no way. We  
21 really think that this is a situation where you  
22 need to either do an add-on trial or an active

1 comparison.

2 But when one is doing an active control  
3 trial such as this, particularly with a recently  
4 approved drug, one has to understand the issue,  
5 both in a regulatory perspective and also the  
6 magnitude of difference that we're looking here at.  
7 When we're seeing that there is a delta of  
8 approximately two months here in median survival,  
9 one needs to add that onto the control effect of  
10 the drug that you're comparing it to.

11 So that's why we're harping about it, and I  
12 think the sponsor needs to really answer this  
13 question to everybody's satisfaction; well, what is  
14 the control effect, or at least an estimation of  
15 that control effect in this setting, in this  
16 second-line setting. So we're adding it on. So  
17 it's not just two months. It's two months plus the  
18 effect size of sorafenib in this situation. Again,  
19 we don't have a comparative efficacy requirement  
20 here. It's just the demonstration of safety and  
21 efficacy.

22 Given that, if somebody could do a non-

1 inferiority trial, if we had a good idea of what  
2 the control effect of these trials is, they could  
3 get the drug approved simply by doing a non-  
4 inferiority trial to any of these agents here.  
5 They don't have to beat the agent. They would have  
6 to do a non-inferiority trial.

7           That is particularly problematic here in  
8 this situation or in the treatment of renal cell  
9 cancer because we've rapidly approved multiple  
10 drugs on the basis of one trial. It's very hard to  
11 determine accurately control effects for  
12 consistency or constancy on the basis of only one  
13 trial. So the doing and the execution of trials  
14 based on non-inferiority is very difficult.

15           My own personal opinion is that the sponsor  
16 is to be commended to actually trying to put this  
17 drug in some type of order here to what would be a  
18 second-line therapy, and actually going against an  
19 active control. Let me remind you that many of the  
20 sponsors that do active control trials, they  
21 generally don't put their drug up against a  
22 recently approved drug. They're looking at ancient



1 drugs like DTIC or other drugs that have marginal  
2 activity here.

3 So here again, we're really comparing it to  
4 a drug that has been recently approved here. And I  
5 think the reason why we brought this drug to ODAC  
6 was really to have a discussion about the problems  
7 here with developing a drug where you have multiple  
8 approved drugs in a relatively tight sequence here.  
9 Since 2005 or so, we have six therapies that are  
10 approved here.

11 So here again, the point I want to get  
12 across, there is no comparative efficacy issue  
13 here. It is does this drug have safety and  
14 efficacy. If we were talking about accelerated  
15 approval, yes, you have to be better than available  
16 therapy because we're approving that drug on the  
17 basis of a surrogate endpoint. Here, we have  
18 already stated that from our past approvals from a  
19 regulatory perspective, PFS of a sufficient  
20 magnitude would be of clinical benefit.

21 So the real issue here is what is the  
22 control effect of sorafenib here. And, here again,

1 I realize we do not have randomized trials. This  
2 is not a perfect world, but what do people feel  
3 comfortable with, with regards to the effect of  
4 sorafenib, because that's what you have to add this  
5 delta on to.

6 So am I making myself clear? I don't know  
7 if we brought these points out really well in our  
8 presentation, but, here again, people have  
9 constantly emphasized this is an active control,  
10 this is an active control. But one has to  
11 understand what the implications of that active  
12 control is, that you have a delta here but you add  
13 the control effect to that -- you have to add that  
14 delta to the control effect, and also the issue of  
15 we don't have a comparative. You don't have to  
16 beat this drug necessarily. They did.

17 DR. WILSON: So, Rick, I want to thank you  
18 for those comments. It is something that I think  
19 we will talk a little bit more about.

20 Let me just ask a couple of questions of the  
21 sponsor, and then we're going to move on to the  
22 voting question, and we'll have a discussion. And

1 I think Dr. Pazdur's comments I will put my own  
2 spin on.

3 We noted here in the randomized study that  
4 in the treatment arm, discontinuation due to AEs  
5 was 9 percent of all cases whereas in the control  
6 arm, it was 13 percent. One of the things about  
7 this drug is that I don't know if it's fair to say  
8 it is less toxic or more toxic. It simply has a  
9 different constellation of side effects, and  
10 different people are going to get different side  
11 effects. And putting efficacy aside, I think it is  
12 worthwhile and important to have active agents that  
13 may have a different side effect profile because  
14 one patient may not be able to tolerate one versus  
15 another.

16 So my question is -- I know the 9 and  
17 13 percent is what was found in a relatively  
18 rigorously controlled clinical trial. My question,  
19 though, is to the two clinicians, in actual  
20 practice, can you give us some idea about how often  
21 you have to take patients off a specific TKI so  
22 that we have a better idea of what the reality is

1 of the need for drugs with a different  
2 constellation of side effects.

3 DR. ANDREWS: Can I ask Dr. Motzer to  
4 answer?

5 DR. MOTZER: In clinical practice,  
6 generally, we start out with the full dose of the  
7 drug, for example, 50 milligrams of sunitinib if  
8 that's what you're using. And we dose modify for  
9 toxicities. A lot of the toxicities, for example,  
10 hypertension, is managed by additional anti-  
11 hypertensives. And so we generally try to manage  
12 the toxicity as best as possible without dropping  
13 the dose.

14 When we have to, we drop the dose, and for  
15 the most part, the proportion of people who  
16 actually stop treatment, the drug, specifically for  
17 toxicity is small. I think it's relatively  
18 representative for here and in the sunitinib  
19 studies. I think it's probably between 10,  
20 15 percent that actually have drug stopped for  
21 toxicity, and most instances, it's progression.

22 DR. WILSON: Okay. So that's a very good

1 point. Then can you please comment on the  
2 following. Dropping dose below a therapeutic or  
3 effective level, even though we may not know where  
4 it is, and its attendant side effects may be, in  
5 reality, equivalent to stopping the drug  
6 altogether, therefore, is it of use to have  
7 drugs -- if you have a drug that you know is potent  
8 with a different side effect profile, would you,  
9 with that drug in hand, be more inclined not to  
10 drop the dosing of your current drug so low to  
11 avoid the side effects but going over to another  
12 class? Because, again, it's all about whether do  
13 you stop it or do it so therapeutically, which you  
14 don't know; the consequence for the patients, the  
15 same?

16 DR. MOTZER: I think that's a very good  
17 question. I don't think we really know the answer  
18 to that. In my own practice, what I normally do is  
19 follow the guidelines for the trial that  
20 established efficacy and use those guidelines in  
21 terms of dose reducing, but very good question in  
22 terms of should we go with a lower dose or just

1 simply switch to a different TKI that has a  
2 different toxicity profile.

3 I think that it gets back to the point of  
4 individualized medicine. These drugs all have  
5 different toxicity profiles. One drug may be  
6 better for one person, and one drug may be better  
7 for another. And that's why I think it's very  
8 important to have them available if they meet the  
9 guidelines for approval so that we doctors can have  
10 that choice in terms of making individual decisions  
11 for our patients. I think that's critical.

12 DR. WILSON: So I think the fact that this  
13 drug has a different toxicity profile would suggest  
14 that it may have a niche, and that it is useful to  
15 have a drug like this available. I think one of  
16 the questions that Dr. Fojo was trying to get  
17 at -- and I think that a lot of people here have  
18 been trying to dissect whether or not axitinib is  
19 better than sorafenib -- but one of the issues that  
20 Dr. Fojo brought up was in terms of the dosing. I  
21 believe you're the one who commented on the fact  
22 that they were allowed to increase the axitinib

1 dose but not on the sorafenib dose. I think it's  
2 telling, though, that if you do look at the dose of  
3 modifications, at least those in which the drugs  
4 were reduced, actually, the 52.1 percent of the  
5 patients on the sorafenib arm had their dose  
6 reduced, whereas only 30.6 percent on the axitinib  
7 arm, suggesting to me that the effects were not  
8 driven by an under-dosing of the sorafenib arm.

9 Yes, Dr. Fojo?

10 DR. FOJO: The material that was handed out  
11 has the PFS, in 40 and 30 percent of the patients,  
12 they're censored because you said that it was close  
13 to the termination. So you showed us today the  
14 update on the overall survival.

15 Do you have the update on the PFS that  
16 hopefully will have less censoring and how that  
17 breaks down for all patients and for, quote,  
18 "cytokine refractory and sunitinib refractory?"

19 DR. ANDREWS: Yes, we haven't had an  
20 opportunity to perform the additional PFS on this  
21 updated survival. We did in response to a query  
22 from a European regulator update the data. I would

1 emphasize the final for the protocol was at 409  
2 events. But we did update. We had about  
3 approximately 70 additional events.

4 If I may show slide E-8, this is the overall  
5 numbers, and there was a cutoff date of June, 2010.  
6 And you can see relatively there's less censoring,  
7 and the curves have been extended out. The hazard  
8 ratio again is still .67, and the difference is  
9 around two months still.

10 If I may, you asked about the subgroups,  
11 and, again, I don't think in the subgroups the  
12 numbers had changed. If I may, just to go back to  
13 an earlier point and put in context slide E-2, this  
14 then was the earlier discussion around relative to  
15 sorafenib.

16 The left-hand slide is the target study on  
17 which sorafenib got approval, and you can see the  
18 difference in curves between placebo and sorafenib  
19 with a hazard ratio of .44. The right-hand side  
20 then is the additional benefit that axitinib brings  
21 over and above sorafenib, which again showed  
22 benefit over placebo in this setting.



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Questions to the Committee and Discussion**

DR. WILSON: Okay. Thank you.

Let me then conclude the questions to the sponsor, and I would now like to move on to the questions to the committee, and we will be having a discussion. If a compelling question comes up during that discussion where you would like to ask a question of the sponsor, certainly, I am open to that.

So, Dr. Pazdur, would you prefer the FDA to read the -- well, give the overall and the question to the committee?

DR. MCKEE: So this is just to summarize once again the results from the single randomized study with axitinib versus sorafenib. There was a median PFS of 6.7 months for axitinib versus 4.7 months for sorafenib, with a hazard ratio of 0.67. In the previously treated cytokine subgroup, the median PFS difference was 5.6 months, and the previously treated sunitinib group, the median difference was 1.4 months. There was no difference between arms in overall survival, and the safety

1 profile is similar to other VEGFR TKIs.

2 So the voting question is, is the  
3 benefit-risk evaluation favorable for axitinib  
4 treatment in patients with advanced RCC after  
5 failure of a first-line systemic therapy, and vote  
6 yes, no, or abstain.

7 DR. WILSON: Okay. So let me start out by  
8 giving kind of my summary and perspective on this.  
9 I think that Dr. Pazdur summarized it very well,  
10 but I think it's important to recognize that for  
11 regular approval, the criteria for that is that the  
12 drug is effective and that has a favorable  
13 risk-benefit ratio.

14 I think we've heard extensive data regarding  
15 the toxicity. I think it's fair to say that the  
16 toxicity is probably, overall -- if you balance  
17 both drugs, it's probably at a similar level, but  
18 the toxicities are different. And, therefore,  
19 different patients will have different toxicities.  
20 And I think that if you have two drugs that are  
21 equivalently effective, having drugs with different  
22 toxicity profiles can be very useful for the

1 individual.

2 I also am struck by a number of the  
3 toxicities associated with the axitinib seems to be  
4 possibly class driven, hypertension and also a  
5 number of vascular events that we know is  
6 associated with inhibition of VEGF. And so one  
7 might anticipate that class-driven effects are  
8 going to be seen more prominently in a drug that  
9 may have a greater effectiveness, but we don't know  
10 that. But I do think it's fair to say that the  
11 toxicities are different but probably overall  
12 equivalent.

13 One of the things I think that is quite  
14 striking about this application is that it is the  
15 first drug in RCC that has been compared against an  
16 approved agent. As you can see -- and another  
17 approved TKI. All the other TKIs were not set up  
18 against other TKIs. And the regulatory requirement  
19 is that this drug -- if we assume the other TKI  
20 that's been approved is effective, which has been  
21 established by virtue of the fact it is an approved  
22 agent, all the sponsors really need to do is show

1 that it is equivalent to the other TKI, and that  
2 would be a non-inferiority study.

3 In fact, this trial showed improvement in  
4 the hazard ratios even among those patients that  
5 had had prior TKI therapy. I'm not saying it was a  
6 large amount, but if you're going to see an  
7 improvement in hazard ratios, I think one can  
8 certainly say that it is no worse, or it is  
9 equivalent, or it would be found to be not inferior  
10 to the other drugs that are approved out there.

11 So I believe that actually they have come up  
12 to a higher hurdle, which is that they have shown  
13 that it is marginally but nonetheless has a better  
14 hazard ratio using progression-free survival.

15 Now, we can argue whether or not two to  
16 three months is worthwhile, but that is the  
17 endpoint, and that is a legitimate endpoint. And  
18 that is the endpoint for which all the other TKIs  
19 were approved. Now, what we know is that, if  
20 anything, it's slightly longer. And I think all  
21 they need to do is show that it is equivalent to  
22 it.

1           So I think we've spent a lot of time trying  
2           to dissect out whether or not this drug is really  
3           going to offer a meaningful benefit over other  
4           TKIs. I don't -- that is not the regulatory hurdle  
5           that this drug has to show. And I think by making  
6           drugs like this available, one really doesn't know  
7           whether or not if you have a more effective TKI.  
8           With postmarketing studies done by cooperative  
9           groups, et cetera, maybe this would turn out to be  
10          the front-line TKI. You won't know that until  
11          those kinds of trials are done.

12           So, certainly, it is my take that the  
13          toxicity profile is different. There's benefit  
14          there, and I think we have evidence that it is not  
15          inferior to currently approved TKIs. And so that's  
16          just my summary, and I just want to open it up to  
17          the committee to have their questions, discussion,  
18          et cetera.

19           Dr. Fojo, you look like you have something  
20          running around in your head.

21           DR. FOJO: No. I mean, I generally agree  
22          with most of what you said. In getting back to

1 what Dr. Pazdur was saying, I mean, I think he's  
2 saying added above and what sorafenib did. I think  
3 in the refractory sunitinib patients, sorafenib did  
4 basically nothing. So I think that the drug in  
5 that setting has two months, and that's about what  
6 it would have been had it been compared against an  
7 inactive or placebo. So, again, we can discuss how  
8 important two months are. But I think that that's  
9 all it had.

10 In the more favorable setting of the  
11 cytokine refractory, obviously, it outperformed  
12 sorafenib quite well. And I think sorafenib did,  
13 as I pointed out, better than it has in the past,  
14 which is reflecting that we're getting a lot of  
15 experience with these agents and administer them  
16 better and for a longer time.

17 I thought it was interesting that, like for  
18 example, the PPE rate grade 3 for sorafenib was  
19 16 percent, which is two and a half to three times  
20 what it was in the original trial. It just shows  
21 you we're willing to push with these drugs a little  
22 bit longer. And when you look at it here, and

1       you're alluding to the fact that it could come to  
2       the upfront setting -- obviously, when you look at  
3       the numbers, you say 12.1 months PFS for this, 8.8  
4       for sunitinib, 7.4 for pazopanib, it seems better,  
5       but I think months are getting better with age.  
6       And if you were to run the sunitinib trial again,  
7       it would be higher than 8.8 because we know how to  
8       manage that drug better, and we would do better  
9       with it.

10               So in the end, there will be the studies  
11       that will compare this to other drugs, but, to me,  
12       the activity is most impressive in the cytokine  
13       refractory. And in the sunitinib refractory, it is  
14       what it is, not a whole lot.

15               DR. WILSON: So, again, it doesn't -- what  
16       we really are looking here is it worse than what's  
17       already out there. So I don't think we need to  
18       argue about whether two months is meaningful. I  
19       think what we can say is that it's not -- at least  
20       two months is in the positive direction.

21               DR. FOJO: Yes, so I think it's an active  
22       agent. It's toxic, but it's, as has been alluded

1 to, toxic in a different way. And Dr. Rini said  
2 hopefully, someday we'll have some markers. Maybe  
3 we'll have some markers as to who develops  
4 hypertension, who develops PPE, who develops what  
5 toxicity, and then those things will be better and  
6 more informed. Currently, it's just a clinical  
7 acumen, a clinical body language that one basically  
8 makes these decisions on. But it certainly is an  
9 active agent, comparably, or better than what it is  
10 out there. And it is different toxicity but not  
11 worse.

12 DR. WILSON: Dr. Curt.

13 DR. CURT: Yes, thank you, Dr. Wilson.

14 Just to comment, we heard in the sponsor's  
15 presentation from Dr. Motzer who chairs the NCCN  
16 kidney cancer guidelines committee, and his opinion  
17 that if approved, this would be a Category 1,  
18 highest evidence drug both in the post-cytokine and  
19 post-TKI settings. And if it's approved, it would  
20 be the only Category 1 drug in both of those  
21 treatment settings. And I think it's something  
22 important in the voting members' consideration on



1       how to address the question to the ODAC. Thank  
2       you.

3               DR. WILSON: Thank you.

4               Dr. Kelly.

5               DR. KELLY: Yes, the drug does definitely  
6       have activity, and it looks like it does have  
7       clinical benefit. The one thing I would caution is  
8       this is showing efficacy. We don't know in  
9       comparison to other drugs, so really knowing where  
10      it's going to fit in the armamentarium, we have to  
11      be very careful until we do the appropriate trials.

12              One thing I would ask the FDA is that you  
13      keep on here, is we're in the era of targeted  
14      therapy, but you go and keep on, say, advanced  
15      renal cell carcinoma. And this was only studied in  
16      the clear cell setting. I mean, should we start  
17      looking at these drugs in the cell types now  
18      because I think that's one of the first steps; we  
19      have to start looking at targeted therapies.

20              DR. PAZDUR: I think that would be a good  
21      suggestion, and we will take a look at that. Here  
22      again, generally, we give the indication of the

1 patient population in the entry criteria that was  
2 studied, but, here again, I think advice to  
3 sponsors should be to really take a look at this  
4 early on in the development of their drugs,  
5 basically.

6 DR. KELLY: Yes, and I guess to the sponsor,  
7 the question is, do you have any data on non-clear  
8 cell cell types that you can help us with this?

9 DR. ANDREWS: Glen Andrews, Pfizer. I think  
10 we have about four patients or five patients,  
11 really too few -- the definitively non-clear cell,  
12 it's really too few to make a conclusion on.

13 DR. KELLY: Thank you.

14 DR. WILSON: I did want to just follow up.  
15 I hope I wasn't misinterpreted in terms of saying  
16 where this drug -- if it was approved, where it  
17 might end up. The point that I'm making is you  
18 don't know where it's going to end up until it's  
19 studied, and it's not going to be studied if it's  
20 not out there to be studied. So the only thing is  
21 that I think if you have drugs that are a little  
22 bit different but the same class, I think until you

1 study it, you just don't know where it's going to  
2 be.

3 Yes, Dr. Garnick?

4 DR. GARNICK: I would just like to make a  
5 comment to both FDA and to the sponsor. It would  
6 seem to me that in the drug development, when you  
7 have any sort of signal toxicity, whether it be  
8 hypothyroidism or VTEs, that the sponsor really  
9 should have an obligation to try to better  
10 understand the etiology of that, with or without  
11 biomarkers, because there are other clinical  
12 parameters that can be looked at more extensively.

13 From the FDA, from an ODAC perspective, it  
14 would be very helpful if PFS is going to be used as  
15 the primary endpoint, that we sort of understand  
16 what the starting point is of patients entering  
17 trials, and information is easily obtained  
18 prospectively before the study's begun.

19 DR. WILSON: I think this trial -- I mean,  
20 this presentation has been a very -- is very useful  
21 because we have not that long ago discussed how two  
22 months in improvement in progression-free

1 survival -- and I'm talking about the Avastin in  
2 breast cancer -- was not a clinically meaningful  
3 endpoint. And I just think that it's very  
4 important to put these within their contexts. And  
5 that is why I think that it's impossible to just  
6 say this -- to give a number across all different  
7 settings. And I think that it's a little bit,  
8 you'll know clinical benefit or you'll know the  
9 regulatory hurdles or thresholds when you see the  
10 actual indication and the actual trials. And I  
11 think that two months with the Avastin in that  
12 setting was a very different situation than two  
13 months here, because here, we're really  
14 determining, number one, is it any more unsafe than  
15 current TKIs? I think we all agree that it's  
16 different but not more unsafe.

17 So, really, we're only looking -- we only  
18 need to show that it is -- that it has activity and  
19 it has equivalent, perhaps somewhat better  
20 activity. One can argue whether two months  
21 progression-free survival is meaningful. I would  
22 say, in general, it's probably not. In fact, I

1 would say it's not. But I think that is  
2 nonetheless a legitimate endpoint to show that it  
3 is equivalent to a drug that is already shown that  
4 it is active.

5 Dr. Sekeres.

6 DR. SEKERES: You know what, I would add to  
7 that. There's a difference in the bevacizumab  
8 considerations that we had for breast cancer versus  
9 this. In that setting, it was approved based on  
10 accelerated approval; and, therefore, had to show  
11 the effect size was as great and, ideally, that it  
12 was showing overall survival advantage in a, quote,  
13 unquote, "confirmatory study," whether or not  
14 that's confirmatory.

15 In this setting, this is full approval, and  
16 I think the FDA has clearly defined the bar for  
17 efficacy that they're expecting. And it was a bar  
18 that was agreed upon years ago between the FDA and  
19 the sponsor. So given that that's the bar, there's  
20 not much that I can recommend as a member of an  
21 advisory panel to that. And I would say the  
22 toxicities are different, but the degree of

1 toxicity is equivalent to other drugs that are out  
2 there within the same class.

3 DR. WILSON: Yes, Dr. Logan.

4 DR. LOGAN: I guess I would maybe just kind  
5 of point out some of the numbers. These are -- the  
6 point that Dr. Pazdur was making about we need to  
7 take this in context with the control effect of the  
8 sorafenib, these cross-study comparisons are  
9 difficult. But if you'll go back to the everolimus  
10 study, the median progression-free survival for the  
11 placebo was 1.8 months, and for the axitinib study,  
12 it was -- the sorafenib control was 3.4 months. So  
13 that's not a very active agent in this second-line  
14 setting. And, granted, these are different  
15 populations. The everolimus is probably a third  
16 line, actually, primarily third-line setting, so  
17 you would expect that that would be even longer in  
18 that group.

19 So the benefit that we're seeing here is  
20 very modest. It's about 1.4 months in a median  
21 progression-free survival in this sunitinib  
22 pretreated group. Even if you add that on to the

1 potential benefit of the sorafenib control, it's  
2 still unlikely to be that much more. The  
3 difference there was 1.8 to 3.4 if you take a  
4 placebo from a different study versus the sorafenib  
5 control here. So it's still not a very large  
6 benefit, so I would just acknowledge that.

7 Now, I also acknowledge that toxicities are  
8 similar overall, although the profiles are  
9 different, but I think the overall benefit should  
10 be considered to be moderate, I guess.

11 DR. WILSON: Dr. Fojo.

12 DR. FOJO: I mean, in terms of if you look  
13 at the overall survival and it's the same, then you  
14 can say adding this into the mix of what we  
15 currently have is not going to change the overall  
16 survival of patients with kidney cancer. We've got  
17 plenty of drugs out there already to manage them,  
18 and that gets them out to a comparable place as  
19 having or not having axitinib. So in that sense,  
20 it's not adding a whole lot.

21 But, again, I think we come back to it's a  
22 different toxicity profile, and it might provide

1 alternatives to the physicians treating them. But  
2 at the end of the day, we already have the answer  
3 with what we have. This doesn't add anything to  
4 the overall survival.

5 DR. WILSON: Right, but, of course, that's  
6 not the question we're being asked.

7 DR. FREEDMAN: I think with the  
8 clarification that Dr. Pazdur provided, we can  
9 certainly say that the drug is safe and effective,  
10 not in relation to the magnitude of difference for  
11 the prior TKIs. But the toxicity profiles I think  
12 you can see are clearly different. I think it's  
13 interesting that there was no enhanced liver  
14 toxicity, which has been a major issue for these  
15 drugs.

16 I think it's going to be important, however,  
17 for the sponsor to try to sort out what is the  
18 importance of having a restricted targeting effect  
19 here. Is it going to contribute to efficacy, or is  
20 it contributing to a different toxicity profile?  
21 And I think that would be useful area for further  
22 exploration.



1 DR. WILSON: Okay. Do we have any other  
2 further compelling -- Dr. Zones.

3 DR. ZONES: I'm not going to say this is  
4 compelling, but I have two questions. One is I'm  
5 interested in why the FDA gave in on overall  
6 survival. And the second is what is the benefit  
7 of -- I can see the benefit of two months'  
8 additional life, but it doesn't contribute to  
9 overall survival. And I find the quality of life  
10 data really odd because towards the end of  
11 the -- towards the 20-month period, the people  
12 reported having a slightly higher quality of life  
13 than the average U.S. population, and yet they had  
14 advanced kidney cancer, and they were on these  
15 toxic drugs. So I find that confusing.

16 DR. WILSON: Yes. Do you want to respond?

17 DR. PAZDUR: I'd be happy to answer that.  
18 Amy already addressed the issue. When we were  
19 having the discussions regarding PFS, the reason  
20 why we suggested overall survival was an interest  
21 that the company had in coming with an interim  
22 analysis. And we have had very strong

1       conversations with other sponsors about problems  
2       looking at interim analysis of PFS and the  
3       variabilities and the changing that could occur  
4       from that interim analysis to final analysis. So  
5       the implication was if we were going ahead with an  
6       interim analysis, please look at overall survival  
7       for an interim analysis.

8               Here again, we have to be consistent with  
9       other sponsors, and we have a track record here  
10       that has ranged of six drugs that have been  
11       approved and six companies given advice with a PFS  
12       endpoint, so we can't hold one sponsor up to a  
13       higher standard than another sponsor; that's for  
14       sure.

15               Please remember also, to say that we have to  
16       demonstrate an overall survival advantage from the  
17       last approved drug for any other new drug to be  
18       approved is a very high bar here and really is a  
19       comparative efficacy standard, which we do not have  
20       the legal authority to impose.

21               DR. WILSON: Okay. Well, with that, let's  
22       go ahead and vote. I want to have you turn your

1 attention to your mic. Make sure that your name is  
2 on the mic. And you press either the yes button,  
3 the no button, or the abstain button. And let me  
4 just say that a yes means that the risk evaluation  
5 is favorable for axitinib treatment in patients  
6 with advanced RCC after failure of a first-line  
7 systemic therapy. No is it's not, and abstain is  
8 obvious.

9 So with that, let's go ahead and vote. And  
10 then after we vote, the votes will come up on the  
11 screen, and then I'm going to have each of you go  
12 around the room and explain or give us a very short  
13 statement about why you voted as you did. And so  
14 let's vote. Thank you.

15 [Vote taken.]

16 DR. WILSON: Okay. I'd like to read into  
17 the record the voting results: yes, 13; no, zero;  
18 abstain, zero.

19 So with that, let me go ahead and start on  
20 the right side of the room with the first voting  
21 member, and if you could please state -- well, we  
22 know how you-all voted. Just if you could briefly

1 say why you voted how you did. Thank you.

2 DR. ARMSTRONG: Thank you. I think this is,  
3 as pointed out --

4 DR. WILSON: Would you please state your  
5 name into the record?

6 DR. ARMSTRONG: Oh, sorry. Deb Armstrong.  
7 This is probably the most robust randomized trial  
8 in that the comparator arm is an active and  
9 approved agent that's really contemporary  
10 treatment, not sort of more historic treatments. I  
11 think the data in the U.S. population is  
12 compelling, and I do agree, having certainly used  
13 sorafenib before, that the toxicities, while they  
14 may be manageable in both of them, that having  
15 something with a different toxicity profile for  
16 those patients who don't tolerate sorafenib is  
17 actually certainly a plus.

18 DR. BUZDAR: Aman Buzdar, I voted yes based  
19 on that when you look at it against the standard  
20 approved therapy in every subset in the forest  
21 plot, there was no subset in which there was any  
22 detrimental effect. And the safety profile was

1 somewhat different, but it was still, I think,  
2 within the range of the other five compounds, which  
3 are already on the market. So I voted yes.

4 DR. FOJO: Tito Fojo, I voted yes. I think  
5 I expressed why. So I think it's an active agent.  
6 I think it's a different toxicity profile but  
7 acceptable. So hopefully it'll be tested in the  
8 operant setting. It seems that would be a next  
9 best step.

10 DR. DIEHL: Lou Diehl, simply put, it met  
11 the regulatory criteria.

12 DR. LOGAN: Brent Logan, I voted yes as  
13 well. I think overall, it probably has a modest  
14 effect, and I think there are -- in this particular  
15 kind of study design, there are some difficulties  
16 in ascertaining the control effect of the  
17 sorafenib, which you kind of add the effect to.  
18 But the toxicities seem to be manageable and seem  
19 to be comparable but not worse than, although  
20 slightly different than what's out there already.  
21 And so with all those considerations, I thought  
22 that the efficacy and benefit ratio was acceptable.

1 DR. VOSE: Julie Vose. I voted yes. I  
2 believe that it's a well-designed trial. It met  
3 the regulatory guidelines and does offer an  
4 alternative for patients with different toxicity  
5 profile.

6 DR. ZONES: I'm Jane Zones, and I voted yes  
7 because it has a slight benefit over the risk, and  
8 it offers more options for patients in this  
9 subgroup.

10 DR. WILSON: Wyndham Wilson, I voted yes. I  
11 felt that it met the regulatory bar and offers an  
12 important or useful alternative.

13 DR. SEKERES: I'm Mikkael Sekeres. I voted  
14 yes, but I did so reluctantly. I think axitinib  
15 moves the ball forward for renal cell cancer. It  
16 didn't score a touchdown. I'm not even sure it got  
17 a first down, but it moved it forward a few yards.  
18 It gives an alternative to patients who can't  
19 tolerate other tyrosine kinase inhibitors for renal  
20 cell cancer. And my hope is that in the future,  
21 drugs that are up for approval for renal cell  
22 carcinoma will have an overall survival guideline.

1 DR. FREEDMAN: Ralph Freedman, I voted yes.  
2 The drug is safe and effective. And I think that  
3 it has an adverse event profile which will be  
4 useful both to physicians and to patients.

5 DR. KELLY: William Kelly, I voted yes for  
6 the reasons stated mostly before me, but it has a  
7 good safety profile. It has shown efficacy. I  
8 think that we just have to be cautioned to make  
9 sure we have the appropriate comparable trials that  
10 we know how to use this drug appropriately in this  
11 population.

12 DR. GARNICK: I'm Marc Garnick. I voted  
13 yes, also very reluctantly. I don't think the  
14 toxicity profile has been fully elaborated, and I  
15 would urge the sponsor in postmarketing evaluations  
16 to more fully understand both the hypothyroidism,  
17 which can affect other systems in patients with  
18 cancer as well as the VTE. I don't think those  
19 have been adequately studied, and I would really  
20 urge some postmarketing evaluations with or without  
21 biomarkers.

22 MS. MEYER: Mary Meyer, and I voted yes. I

1 think that they've proven that this drug is safe,  
2 and kidney cancer patients need more alternatives.  
3 And I just wanted to throw in there that two, three  
4 months does make a difference. Thank you.

5 **Adjournment**

6 DR. WILSON: Okay. So with that, let me  
7 conclude the morning session, and we will  
8 meet -- we will reconvene at 12:30. Thank you.

9 (Whereupon, at 11:33 a.m., the morning  
10 session was adjourned.)

11

12

13

14

15

16

17

18

19

20

21

22